

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

MANUFACTURING SUBCOMMITTEE  
OF THE  
ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

8:30 a.m.

Thursday, May 22, 2003

Ballroom Salons A-D  
Gaithersburg Marriott - Washingtonian Center  
9751 Washingtonian Boulevard  
Gaithersburg, Maryland 20878

## ATTENDEES

## ACPS MEMBERS:

PATRICK P. DeLUCA, PH.D.  
Professor, Faculty of Pharmaceutical Science  
401 College of Pharmacy  
University of Kentucky  
907 Rose Street  
Lexington, Kentucky 40536-0082

ROBERT GARY HOLLENBECK, PH.D.  
Professor of Pharmaceutical Science  
University of Maryland School of Pharmacy  
20 North Pine Street  
Baltimore, Maryland 21201

KAREN M. TEMPLETON-SOMERS, Acting Executive Secretary  
Advisors and Consultants Staff (HFD-21)  
Center for Drug Evaluation and Research  
Food and Drug Administration  
5600 Fishers Lane  
Rockville, Maryland 20857

## AD HOC MEMBERS (Special Government Employee Consultants):

JUDY P. BOEHLERT, PH.D.  
President, Boehlert Associates, Inc.  
102 Oak Avenue  
Park Ridge, New Jersey 07656-1325

DANIEL H. GOLD, PH.D.  
12 Route 17 North, Suite 308  
Paramus, New Jersey 07652

THOMAS P. LAYLOFF, JR., PH.D.  
Principal Program Associate  
Center for Pharmaceutical Management  
Management Sciences for Health  
5 Thomas Court  
Granite City, Illinois 62040-5273

GARNET PECK, PH.D.  
Industrial and Physical Pharmacy  
Purdue University, 575 Stadium G-22C  
West Lafayette, Indiana 47907

## ATTENDEES (Continued)

AD HOC MEMBERS (Special Government Employee Consultants):  
(Continued)

JOSEPH PHILLIPS  
230 Hillcrest Avenue  
Blackwood, New Jersey 08012

G.K. RAJU, PH.D.  
Executive Director, MIT/PHARMI  
MIT Program on the Pharmaceutical Industry  
Room 56-653  
Massachusetts Institute of Technology  
77 Massachusetts Avenue  
Cambridge, Massachusetts

RICHARD SERAFIN  
240 Aldrin Drive  
Ambler, Pennsylvania 19002

## GUESTS AND GUEST SPEAKERS:

EFRAIM SHEK, PH.D., Acting Industry Representative  
Divisional Vice President  
Pharmaceutical and Analytical Research and Development  
Abbott Laboratories  
Department 04R-1, Building NCA4-4  
1401 Sheridan Road  
North Chicago, Illinois 60064-1803

GLENN WRIGHT  
Director, Global Regulatory Affairs  
Eli Lilly & Co.  
Lilly Corporate Center  
Indianapolis, Indiana 46285

## ATTENDEES (Continued)

## FOOD AND DRUG ADMINISTRATION STAFF:

DENNIS BENSLEY, JR., PH.D.  
YUAN-YUAN CHIU, PH.D.  
H. GREGG CLAYCAMP, PH.D.  
JOSEPH FAMULARE  
RICHARD FRIEDMAN  
AJAZ HUSSAIN, PH.D.  
HELEN WINKLE

## ALSO PRESENT:

FREDERICK RAZZAGHI  
Consumer Healthcare Products Association

## C O N T E N T S

AGENDA ITEM	PAGE
CONFLICT OF INTEREST STATEMENT by Dr. Karen Templeton-Somers	7
TRANSITION FROM PROCESS ANALYTICAL TECHNOLOGIES (PAT) SUBCOMMITTEE TO MANUFACTURING SUBCOMMITTEE	
ROLE OF PAT IN THE GMP INITIATIVE by Dr. Ajaz Hussain	9
CHANGES WITHOUT PRIOR APPROVAL - FDA PERSPECTIVE by Dr. Dennis Bensley	41
PERSPECTIVE ON RISK ANALYSIS FOR THE GMP INITIATIVE by Dr. H. Gregg Claycamp	65
OPEN PUBLIC HEARING PRESENTATION by Mr. Frederick Razzaghi	99
UPDATE - REGULATORY APPROACHES REGARDING ASEPTIC MANUFACTURING	
SUBCOMMITTEE NEXT STEPS by Dr. Ajaz Hussain	104
ISSUES AND FUTURE PLANS by Mr. Joseph Famulare	142
by Mr. Richard Friedman	147
PQRI ASPECT by Mr. Glenn Wright	152
CONCLUSIONS AND SUMMARY REMARKS by Dr. Ajaz Hussain	191

## P R O C E E D I N G S

(8:30 a.m.)

1  
2  
3 DR. BOEHLERT: Good morning, everybody. I'd  
4 like to welcome you all to the second day of our  
5 subcommittee meeting. We had some very good discussions  
6 yesterday. Today we're going to change focus a little and  
7 it's more informational. We'll be hearing about two  
8 important issues: PAT and aseptic processing.

9 The first thing I'd like to do this morning is  
10 for us to introduce ourselves. First of all, I'll start.  
11 My name is Judy Boehlert. I'm a consultant to the  
12 pharmaceutical industry in areas of quality, regulatory  
13 affairs, and product development.

14 Efraim.

15 DR. SHEK: Efraim Shek from Abbott  
16 Laboratories.

17 DR. LAYLOFF: Tom Layloff, Management Sciences  
18 for Health, a not-for-profit building health systems in  
19 developing countries.

20 DR. RAJU: G.K. Raju, MIT Pharmaceutical  
21 Manufacturing Initiative.

22 DR. PECK: Garnet Peck, Professor of Industrial  
23 Pharmacy, Purdue University.

24 DR. HOLLENBECK: I am Gary Hollenbeck,  
25 Professor of Pharmaceutical Sciences at the University of

1 Maryland.

2 DR. DeLUCA: Pat DeLuca at the University of  
3 Kentucky faculty of pharmaceutical sciences.

4 DR. TEMPLETON-SOMERS: Karen Templeton-Somers,  
5 acting Executive Secretary to the committee.

6 MR. PHILLIPS: Joe Phillips, regulatory affairs  
7 advisor to the International Society of Pharmaceutical  
8 Engineering.

9 MR. SERAFIN: Dick Serafin, consultant in  
10 manufacturing.

11 DR. GOLD: I'm Dan Gold, a consultant to the  
12 pharmaceutical industry in the area of compliance,  
13 regulatory affairs, and manufacturing.

14 DR. HUSSAIN: Ajaz Hussain, Office of  
15 Pharmaceutical Science, FDA.

16 DR. D'SA: Abi D'Sa. I'm representing Joe  
17 Famulare for the morning session.

18 DR. BOEHLERT: Thank you. The first order of  
19 business today is for Karen to read the conflict of  
20 interest statement.

21 DR. TEMPLETON-SOMERS: The following  
22 announcement addresses the issue of conflict of interest  
23 with respect to this meeting and is made a part of the  
24 record to preclude even the appearance of such at the  
25 meeting.

1           The topics of this meeting are issues of broad  
2 applicability. Unlike issues before a committee in which a  
3 particular product is discussed, issues of broader  
4 applicability involve many industrial sponsors and academic  
5 institutions.

6           All special government employees have been  
7 screened for their financial interests as they may apply to  
8 the general topics at hand. Because they have reported  
9 interests in pharmaceutical companies, the Food and Drug  
10 Administration has granted general matters waivers to the  
11 following SGEs which permits them to participate in these  
12 discussions: Dr. Judy Boehlert, Dr. Patrick DeLuca, Dr.  
13 Daniel H. Gold, Dr. R. Gary Hollenbeck, Dr. Thomas Layloff,  
14 Dr. Garnet Peck, Dr. G.K. Raju, and Mr. Richard Serafin.

15           A copy of the waiver statements may be obtained  
16 by submitting a written request to the agency's Freedom of  
17 Information Office, room 12A-30 of the Parklawn Building.

18           In addition, Mr. Joseph Phillips and Dr. Nozer  
19 Singpurwalla do not require general matters waivers because  
20 they do not have any personal or imputed financial  
21 interests in any pharmaceutical firms.

22           Because general topics impact so many  
23 institutions, it is not prudent to recite all potential  
24 conflicts of interest as they apply to each member and  
25 consultant.

1           FDA acknowledges that there may be potential  
2 conflicts of interest, but because of the general nature of  
3 the discussion before the committee, these potential  
4 conflicts are mitigated.

5           With respect to FDA's invited guests, Glenn  
6 Wright reports he is employed full-time by Eli Lilly &  
7 Company.

8           We would also like to disclose that Dr. Efraim  
9 Shek is participating in this meeting as an acting industry  
10 representative, acting on behalf of regulated industry.  
11 Dr. Shek reports that he is employed full-time as  
12 Divisional Vice President for Abbott Labs.

13           In the event that the discussions involve any  
14 other products or firms not already on the agenda for which  
15 FDA participants have a financial interest, the  
16 participants' involvement and their exclusion will be noted  
17 for the record.

18           With respect to all other participants, we ask  
19 in the interest of fairness that they address any current  
20 or previous financial involvement with any firm whose  
21 product they may wish to comment upon.

22           Thank you.

23           DR. BOEHLERT: Thank you, Karen.

24           First on the agenda today is Dr. Ajaz Hussain.

25           DR. HUSSAIN: Good morning. Before I start, as

1 I mentioned yesterday, what we would like to do is after  
2 the morning session, we have three presentations, one  
3 talking about how PAT becomes part of the drug quality  
4 system for the 21st century initiative. Then you have a  
5 presentation from one of the working groups on  
6 comparability protocols by Dennis Bensley, and then you  
7 have a presentation on risk management. What I would like  
8 to do is to start connecting all these things together and  
9 start defining the topics for the next subcommittee  
10 meeting.

11 I would like to change the agenda, as I  
12 mentioned yesterday, with the permission of Madam  
13 Chairperson, to wrap up this discussion and define the  
14 subcommittee's next steps and then have an update on  
15 aseptic manufacturing. Aseptic manufacturing was designed  
16 to be an update for you. You have not been part of that  
17 discussion at the previous advisory committee, so it's  
18 simply sort of an FYI. So if you agree with that, Madam  
19 Chairperson, we'll try to do that. Judy?

20 DR. BOEHLERT: Yes.

21 DR. HUSSAIN: Yesterday I think we had very  
22 valuable discussions. One of the challenges I see is, as  
23 we proceed further, we have to start becoming more specific  
24 in terms of discussion topics and so forth. I think you  
25 will see that happen starting this morning.

1           Let me start with the PAT initiative. When we  
2 started the PAT initiative, this was a topic that we  
3 selected based on many different factors. PAT addressed  
4 review issues. It addressed inspection issues. It  
5 addressed computer validation issues. Therefore, it became  
6 a wedge to open the broader discussion that we have on the  
7 drug quality system for the 21st century. So it actually  
8 was an example that became the topic of discussion of the  
9 entire initiative now.

10           Some of you are already aware of the evolution  
11 of this, but for those who are new to this committee, I  
12 would like to trace back some history.

13           The PAT concept actually got started in '93  
14 with an AOAC workshop in St. Louis that Tom Layloff  
15 initiated. At that time I think the consensus was not  
16 there, and it really did not progress well.

17           Tom and I spent several hours discussing these  
18 concepts, and I think I brought the industrial  
19 pharmacy/chemical engineering perspective with his  
20 analytical, so what evolved from those discussions was a  
21 presentation in the year 2000 to the FIP Millennium  
22 Congress on modern in-process controls. The transition  
23 that occurred, what happened at AOAC in '93 to what the PAT  
24 is now, is we moved the concept to an on-line in-process  
25 focus rather than end product testing. I think if you keep

1 the focus on physical methods for end product testing, the  
2 concept really did not fit well. So I think the quality by  
3 design concept, building quality in, the basic tenets of  
4 the GMP, fit very well there.

5           Keeping that in mind, we took this discussion  
6 as an emerging science issue in pharmaceutical  
7 manufacturing to the FDA Science Board, and that was  
8 necessary because we realized that we are actually changing  
9 the paradigm with this concept and you needed the highest  
10 levels at FDA buying into this and providing support for  
11 this. So the FDA Science Board essentially is an advisory  
12 committee of the Office of the Commissioner.

13           At the first meeting which occurred in  
14 November, we invited several individuals to share their  
15 perspective. We had G.K. Raju and Doug Dean who actually  
16 identified for us the wonderful opportunities that exist to  
17 improving manufacturing efficiencies and actually, by doing  
18 so, improving not only the science of manufacturing, but  
19 also improving quality as well.

20           Norm Winskill and Steve Hammond represented  
21 their views from an industry perspective and outlined some  
22 of the challenges for us. The two phrases that I think I  
23 have used often is "don't use" and "don't tell." In a  
24 sense, the current system has created a scenario,  
25 perceptions and rumors and whatnot, that industry either

1 has adopted a "don't use" scenario for new technologies or  
2 for process improvement in general or "don't tell." They  
3 would use it but not share that with FDA because of fear of  
4 regulatory uncertainty and what type of questions might be  
5 asked, a "why open Pandora's box" type of mentality. We  
6 felt that was unacceptable from a public health objective,  
7 and we wanted to start moving and changing that concept,  
8 and that's how the FDA Science Board discussion started and  
9 we got an endorsement from the FDA Science Board on two  
10 critical issues.

11           The first question we had posed to the Science  
12 Board is this is an emerging science issue and all new  
13 technologies that we're talking about should not become a  
14 requirement. These need to be adopted or adapted by  
15 companies that have the capability, that makes sense from a  
16 business perspective, that makes sense from a product  
17 perspective and so forth. So this could not become a  
18 requirement. So it has to be voluntary. That I think  
19 addressed some of the "c" in cGMP issues, and that was the  
20 first question we had posed to the FDA Science Board.

21           The second question that we had posed to the  
22 FDA Science Board was the issue of a safe harbor, but more  
23 accurately what we call research exemption because there is  
24 a significant fear of improving just because you may find  
25 something or trends which may suggest that something is not

1 appropriate. But if we use that model, then there will  
2 never be continuous improvement, and that went to the  
3 discussion yesterday also that you have to start tightening  
4 your specifications as you improve your process.

5           The problem with that concept is that why would  
6 a company do that if there is no safety and efficacy  
7 justification. Simply ratcheting up requirements from a  
8 standards perspective is not a solution for that.  
9 Therefore, from a continuous improvement model, you have to  
10 bring into consideration broader perspectives and actually  
11 make more rational decisions. We have approved the product  
12 as safe and effective. It has been on the market as safe  
13 and effective. Therefore, continuous improvement in  
14 reducing variability, understanding it better should not  
15 deter and our focus should be on the safety and efficacy.

16           Ray Scherzer was part of our second Science  
17 Board discussion, and essentially he again highlighted the  
18 importance of manufacturing, how manufacturing essentially  
19 is a stepchild in this industry, and the technology does  
20 exist, but I think if we are willing to move in this  
21 direction, the opportunities are humongous. Essentially  
22 that was a challenge to the PhRMA industry itself that we  
23 should be moving to quality by design. He spoke on behalf  
24 of the Consortium for Advancement of Pharmaceutical  
25 Manufacturing.

1                   These two Science Board meetings, I think,  
2 essentially crystallized our thought process and  
3 essentially defined a path forward. This support from the  
4 FDA Science Board was essential.

5                   From those early beginnings, we essentially set  
6 up a PAT Subcommittee under the Advisory Committee for  
7 Pharmaceutical Science. This committee met on three  
8 occasions and it worked very efficiently to define several  
9 things for us: definitions of what PAT is, benefits and  
10 scope; identified perceived and real regulatory hurdles,  
11 but also identified significant internal, that is, within-  
12 company, hurdles that need to be overcome; need for across-  
13 discipline communication, pharmacy, chemistry, engineering,  
14 essentially an engineering concept; approaches for removing  
15 these hurdles. We also had companies come forward with  
16 wonderful case studies. General approach to validation,  
17 but also I think most importantly, we developed a PAT  
18 training curriculum for FDA staff.

19                   We are in the process of training the  
20 individuals on PAT, and the training is being conducted by  
21 three schools. We focused on three National Science  
22 Foundation centers. The School of Pharmacy, Purdue  
23 University. That's the home of the Center for  
24 Pharmaceutical Process Research. University of Washington,  
25 Seattle, Center for Process Analytical Chemistry.

1 University of Tennessee School of Engineering is the  
2 Measurement Control Engineering Center. So we essentially  
3 brought in a chemical engineering focus, a pharmacy focus,  
4 and a chemistry focus to do this training.

5 Now, the approach for the PAT initiative was to  
6 have a core set of individuals who are trained and  
7 certified. We have a PAT Steering Committee within FDA.  
8 This initiative is a collaboration between the Office of  
9 Regulatory Affairs, the Center for Drugs, and the Center  
10 for Veterinary Medicine. So you have a PAT Steering  
11 Committee that reflects these three organizations.

12 We have a PAT Policy Development Team that  
13 includes new recruits and available FDA experience, such as  
14 Raj Upoor, an industrial pharmacist with extensive review  
15 experience; Chris Watts, a biomedical engineer with a  
16 pharmaceuticals Ph.D.; Huiquan Wu, a chemical engineer with  
17 extensive mathematical skills especially in chemometrics,  
18 coming from the semiconductor industry; and more recently  
19 Ali Afnan, a person who actually has done all of this for  
20 AstraZeneca at the Plankstad facility. We hired him and  
21 stole him away from AstraZeneca.

22 We have a PAT Training and Coordinating Team.  
23 John Simmons and Karen Bernard chair that.

24 But more importantly, the heart of this program  
25 is the PAT Review and Inspection Team. We have

1 investigators identified from key districts, and here are  
2 the names of those. We have compliance officers and we  
3 have reviewers. Now, this team is undergoing training. We  
4 hope to finish the training by the end of this year. The  
5 next session for training is at the University of Tennessee  
6 where they'll focus on process controls. They'll come back  
7 to Rockville for a second didactic session, followed by a  
8 certification program. So all applications that are  
9 considered to be PAT applications will only be handled by  
10 these folks who are trained and certified. As this program  
11 grows, then we start expanding the training and getting  
12 everybody on board.

13                   So why PAT? Why process analytical  
14 technologies? We felt there was a gap in the type of  
15 measurements we do. We have focused for the last 30 years  
16 on chemistry, mainly wet chemistry. Physics was missing.  
17 So when you bring physics and chemistry together, actually  
18 you have more meaningful measurements that relate to  
19 product performance. You actually can predict performance  
20 attributes such as dissolution from nondestructive  
21 measurements.

22                   Essentially from that basis, we felt that PAT  
23 provides an opportunity to move from the current testing to  
24 document quality paradigm to a continuous quality assurance  
25 paradigm that can improve our ability to ensure quality was

1 built in or was by design. This is actually the ultimate  
2 realization of the true spirit of cGMPs. In fact, every  
3 guidance we have on cGMP, we state that quality cannot be  
4 tested in. But a critical look at the current system would  
5 say otherwise. We actually test mainly to document quality  
6 today.

7 PAT provides an opportunity for greater insight  
8 and understanding of processes. And this is the heart of  
9 the PAT initiative. I'd like to emphasize without process  
10 understanding, simply adding new measurements is not a  
11 solution. In the words of Ray Scherzer, it's like if you  
12 don't understand your process and put on an on-line sensor,  
13 it's like putting an earring on a pig.

14 Also I think right measurements, right time,  
15 and moving the measurements to the process, and the  
16 measurements being predictive of performance is the key  
17 here. So you have greater insight and understanding of  
18 processes at, on, or in-line measure of performance  
19 attributes, real-time or rapid feedback controls, that is,  
20 focus on prevention. This is a missing element, especially  
21 in product manufacturing, not so in drug substance.  
22 Potential for significant reduction in production and  
23 development cycle time. Minimize risk of poor process  
24 quality and reduce regulatory concerns.

25 So from the three meetings of the PAT

1 Subcommittee, we created a conceptual framework for PAT  
2 guidance development. We actually held the guidance back  
3 for some time for two reasons. One, the Part 11 issues had  
4 to be clarified to some degree, and that has occurred.  
5 Second, since PAT is becoming part of the drug quality  
6 system for the 21st century, we wanted to see how best to  
7 position this guidance. So there were two reasons for  
8 holding the guidance back, but the guidance is on track and  
9 will come out hopefully later this summer.

10           The conceptual framework for PAT policy  
11 development will include these elements. Now, if you look  
12 at on your left-hand side, it starts with incoming raw  
13 materials. Traditionally we have laboratory tests for  
14 identity, purity, potency, and so forth. Those are still  
15 there, but I think we would like to see bringing in more  
16 modern methods that actually provide you information not  
17 only about chemistry but also on physics that relates to  
18 processability of that material. Today we have materials  
19 that come in that are variable in terms of their physical  
20 attributes, but our processes are fixed. So that creates a  
21 situation where you have larger reasons for deviations and  
22 so forth. I think you really have to move towards adapting  
23 a process that can manage the variability of incoming raw  
24 materials. We would like to keep as minimal a requirement  
25 a specification for processability, but to let companies

1 manage that variability in more intelligent way.

2           So if you have, for example -- I'll just give  
3 you an example of near infrared. If you bring in the  
4 infrared for in-process materials there can be certain  
5 advantages. One, you can do the identity of the material.

6 You can do moisture content. You'll get a sense of the  
7 particle size differences from lot to lot. You may not get  
8 an absolute value, but in many cases an absolute value is  
9 not necessary if you know the variability exists and if you  
10 learn to manage that variability, that provides a solution.

11           So with incoming raw material attributes, you  
12 bring physics and chemistry together and then use that  
13 information to predict or adjust optimal processing  
14 parameters. You move away from time, the blend for 10  
15 minutes concept, to blend until it's homogenous, more  
16 towards endpoints which are predictive of the next step.

17           So if you look at this processor, you have  
18 incoming raw materials that differ in shape and size, and  
19 you have end product coming out. So you have incoming  
20 materials. You're gaining more information about that  
21 through very nondestructive, very efficient methods in a  
22 different sense now, and using that information not only to  
23 be proactive, a forward control sort of concept, but also  
24 then you're processing to an endpoint. The endpoint would  
25 be determined based on the performance. You will blend

1 until it's homogeneous. You'll granulate to get the right  
2 moisture content, the right particle size, the right flow,  
3 and so forth. There are wonderful case studies on this  
4 from, say, GlaxoSmithKline on our web site through the  
5 subcommittee.

6           So the concept also comes in you have  
7 measurements on-line or at-line that are now focused on  
8 performance attributes. The in-process controls are now  
9 performance-based, not just time.

10           To do this, you have to identify what are the  
11 critical process control points, monitor those, and go to  
12 an endpoint, but also you have to bring in the control  
13 mentality of chemometrics and information technology for  
14 real-time controls and decisions.

15           You also have an approach for direct or  
16 inferential assessment of quality and performance that  
17 could be at- or on-line. This becomes nondestructive. You  
18 actually say you are predicting dissolution. Instead of  
19 doing the actual dissolution, you can actually relate all  
20 the critical variables that affect dissolution, monitor and  
21 control those, and actually start predicting dissolution.  
22 We have ourselves done many of these experiments, but also  
23 we have done experiments to link it directly to bio instead  
24 of going through an intermediate dissolution.

25           So that's the elements of the PAT, but to make

1 this happen, you really have to think about development  
2 optimization and a continuous improvement framework. You  
3 have to think about design of experiments. There are many  
4 advantages of doing well-designed experiments. So you  
5 start predicting at least within the design space.

6           The concept of evolutionary optimization comes  
7 in. Today it is not an approach that works in the  
8 pharmaceutical sector. It works in the chemical sector,  
9 but through this process, you actually open the door for  
10 that discussion.

11           Clearly improved efficiency is also a driver  
12 here, but to make this happen, you really have to think not  
13 from a univariate perspective, but from a multivariate  
14 perspective. Now, you're not only focused on the drug  
15 substance in your tablet, you're focused on the homogeneity  
16 of all your raw materials and how that relates to  
17 performance. So you really have to move from a focus on a  
18 univariate thinking to a multivariate systems thinking to  
19 make this happen.

20           Then comes risk classification and mitigation  
21 strategies. Essentially this is the framework that the  
22 guidance is going to reflect. So it will be an approach  
23 that takes us in that direction.

24           Now, here is a pyramid. G.K. and I share  
25 pyramids. If you notice in my first slide, I have added

1 B.Pharm. What has happened is a lot of people, when I make  
2 this presentation, think I'm a chemical engineer and they  
3 come up to me and say, you know, these pharmacy types don't  
4 know what they're doing. So I have to say I'm a  
5 pharmacist. But G.K. and I have quite a bit in common in  
6 that.

7                   And here is a pyramid that somehow evolved in  
8 such a way that I thought he took mine and he thought I  
9 took his, but I think we just came up to the same thing.

10                   Now, if we really look at it, to do product and  
11 process quality right, it has to be based on knowledge.  
12 When I started using this pyramid, I borrowed it from the  
13 information technology folks where they said data,  
14 information, knowledge, wisdom, as you go up in that  
15 pyramid knowledge structure.

16                   So the question for FDA to assess was quality  
17 by design, and then we apply our GMP and CMC review to  
18 assess that. From our perspective, what we see in the  
19 submission and what is available to us, the impression we  
20 get is the data derived for all this is from trial and  
21 error type of experiments. There's not much information.  
22 That's the reason I think the chemistry perspective is "I  
23 know it when I see it." When there's a change, how do I  
24 know the bioavailability did not change, the shelf life did  
25 not change? The only way to make a decision today is to

1 say do three batches or do a biostudy, and if it is okay,  
2 then the decision is it can be made.

3 So we are in the bottom of this pyramid today  
4 where we have to scrutinize every step, and it's difficult  
5 for us to assess whether quality was by design and so  
6 forth. So change management is difficult.

7 Also keep in mind the base of this pyramid  
8 reflects the volume of documentation needed. As you go up,  
9 the volume of documentation needed to do this decreases  
10 also.

11 Now, what PAT does is brings the focus on  
12 critical process control points. It also brings in an  
13 ability to generalize, but generalization would be limited  
14 to a certain design space of what you have studied. But it  
15 is going up in this knowledge pyramid, and as you move  
16 toward mechanistic understanding and first principles,  
17 clearly the process design, design qualification probably  
18 becomes sufficient.

19 So that's how we see science- and risk-based  
20 GMPs would be based on knowledge. As companies go up in  
21 this knowledge pyramid, they need to get a reward for that,  
22 and for companies who do not, we have the current system.

23 So the regulatory framework for PAT is the  
24 modern PAT tools that we're talking about are not a  
25 requirement. We'll have a research exemption so that you

1 have continuous improvement without the fear of being  
2 considered noncompliant. There are two simple ways of  
3 looking at research exemption.

4           One is when you start applying PAT-based  
5 systems or any new systems to an existing product line,  
6 until that complete system is validated, all regulatory  
7 decisions are only based on the current approved validated  
8 methods. So that should allow companies to actually gather  
9 more information with new technologies without the fear of  
10 being considered noncompliant. So the regulatory  
11 decisions, as PAT is being applied, on an existing line  
12 will only be based on FDA-approved, validated methods.  
13 Every other method would be a research method from that  
14 perspective.

15           The second way to look at that is if a company  
16 starts from the right thought process, in terms of PAT, if  
17 PAT is process understanding, you have to start from the  
18 very beginning, start understanding the raw material and so  
19 forth, and move towards your end product. So that way,  
20 even if you see deviations and so forth, that can  
21 essentially be adjusted and corrected, and you really  
22 shouldn't have a problem.

23           The other aspect is in terms of when you have a  
24 new method, the acceptance criteria that you have should be  
25 different. If you test 10 tablets to make a decision today

1 and if you test 10,000 tablets to make a decision, that is  
2 a different acceptance criteria. Essentially you look  
3 forward to receiving sound scientific, statistically based  
4 approaches from companies to do that.

5           So that was actually the first or the second  
6 question we posed to the Science Board. Unless we are  
7 ready for science-based decisions, this won't happen. So  
8 we're ready for science-based decisions.

9           We're also providing regulatory support and  
10 flexibility during development and implementation. We're  
11 meeting with companies who are ready for proposals. We've  
12 already met with several. In fact, I think the challenge  
13 for us is now to accelerate the process in such a way  
14 because we didn't anticipate things coming in so quickly,  
15 and they have started coming in. That's a good thing, but  
16 we have to ramp up our process.

17           The reason for this is to eliminate the fear of  
18 delayed approval, but also instead of dispute resolution,  
19 you want to avoid the disputes first. So these meetings  
20 are focused on science first, and then we define a  
21 regulatory strategy, not the other way around. Here is the  
22 regulatory strategy and then the science. The discussions  
23 for these meetings are first science, understand what is  
24 being done, understand what the issues are, then construct  
25 a regulatory part for that.

1           The last bullet here is science- and risk-based  
2 regulatory approach. So what is the incentive for  
3 companies to do this? I think one incentive, other than  
4 this makes sense from all other perspectives, but from a  
5 regulatory perspective, I think companies that understand  
6 their processes better essentially we have moving towards a  
7 low-risk categorization based on a higher level of process  
8 understanding.

9           So the strategy for moving forward right now.  
10 We have conducted several workshops, some of which we have  
11 co-sponsored, in both the U.S. and Europe. These workshops  
12 have been very valuable, especially in terms of the  
13 scientific discussion and debate. Some of these have been  
14 emotional. Especially the Arden House Conference was quite  
15 an emotional workshop. It was across discipline, pharmacy  
16 versus chemical engineering type of debate, R&D versus  
17 manufacturing type debate, but we had to get over that  
18 debate, and I think we had to move to the shared vision.

19           The general guidance on PAT is to be released  
20 later this summer. We'll have a training workshop on that  
21 guidance, and that will bring together different  
22 associations.

23           FDA cannot do this. All we can do is to create  
24 champions, and that's what our focus has been. Champions  
25 to drive this initiative towards a shared vision or desired

1 state that we discussed yesterday.

2           Champions that have already been there. We  
3 simply supported them. Pfizer, GSK, Bristol-Myers,  
4 Aventis, and others.

5           Academia. MIT and Purdue were the champions  
6 that were already on board, but I think now we can see the  
7 list of universities growing tremendously in the U.S. and  
8 in Europe. But also I think this summer we have  
9 discussions to get universities in Japan on board here.  
10 PAT has been introduced in pharmaceutical engineering  
11 programs at Purdue, Michigan, and Rutgers.

12           We are moving towards a system where we would  
13 like to see all the instrument vendors come together as an  
14 association. The reason for this is we are getting so many  
15 requests for meetings to say here is our technology, here  
16 are the issues, and so forth. We cannot afford to meet  
17 with them on a regular basis. So we will issue a Federal  
18 Register notice to bring all these vendors together and  
19 encourage them to move towards an association so that we  
20 can address common issues.

21           Here I think the framework would be -- we have  
22 been in discussion with the National Center for  
23 Manufacturing Science in Michigan. That center was  
24 mandated by Congress for the automobile industry. That  
25 will probably be a framework for bringing them together.

1                   Strategy for moving forward, continued.  
2   Improving FDA knowledge base for technical policy  
3   development. We have recruited several experts and I'm  
4   getting so many CVs from people who want to come to work  
5   for FDA. It's amazing. Many from Pharmacia. No.

6                   (Laughter.)

7                   DR. HUSSAIN: Intramural research refocused to  
8   address technical needs and for in-house training. Our  
9   research program is moving forward to support that.

10                  We would like to learn from other industries.  
11   We are in discussion with ASTM, for example. ASTM has  
12   several wonderful guidelines for on-line process analyzers  
13   and so forth for the petrochemical industry. I think  
14   instead of reinventing the wheel, we would like to put  
15   together a working group of industry, academia, and FDA  
16   folks together to adapt or adopt some of these guidelines  
17   so that we don't reinvent the wheel.

18                  We have a collaborative research and  
19   development agreement with Pfizer. I think it's almost  
20   signed off right now. This will focus on on-line methods,  
21   especially focused on chemical imaging.

22                  We have finished the paperwork now, so this is  
23   now almost official. We will be part of the NSF Center for  
24   Pharmaceutical Processing Research. NSF invited us to be  
25   part of this, to champion this, and this is not the only

1 one. We are in discussions with the bigger center, the  
2 National Center for Pharmaceutical Engineering and  
3 Research, with NSF. So NSF is very supportive in helping  
4 us move in this direction.

5 But finally, I think the strategy moving  
6 forward is to move the PAT initiative as part of the cGMP  
7 initiative for 21st century. This becomes an example of  
8 every element you see in the cGMP initiative. So it's an  
9 example of science- and risk-based systems approach to  
10 product quality regulations.

11 Now, within the framework of the cGMP  
12 initiative, which we now call a drug quality system for the  
13 21st century initiative, what we have done is post-approval  
14 implementation of PAT. The draft guidance that we issued  
15 on comparability protocols -- and Dennis will talk to you  
16 about that soon -- is the PAT-comparability protocol  
17 concept. Now, several companies have already proposed  
18 this, and in fact that has become a framework for  
19 discussion. I think the main emphasis there is systems  
20 thinking, process understanding, risk mitigation strategies  
21 focused on manufacturing science.

22 The PAT Review and Inspection Team is also an  
23 example of training and certification, science- and risk-  
24 based review and inspection.

25 Clearly the product specialist on inspection

1 concept is built into the PAT. We have experts who have  
2 done this, have hands-on experience in industry. So we  
3 have the right expertise. I think I won't be exaggerating  
4 if I say we probably are at the 90th percentile in terms of  
5 know-how on PAT. I think we do have the right expertise  
6 and we're getting more of that right expertise.

7 I want to emphasize what I mean by moving from  
8 testing to document quality to quality by design. I think  
9 this is a fundamental paradigm shift. What does this mean?

10 For example, if I take particle size as an  
11 attribute, effective methods for managing and controlling  
12 particle size variability to provide consistent  
13 performance. That's the thought process. For the last 20  
14 years, we have struggled, especially when it comes to  
15 physical attributes, to define public standards. It's  
16 difficult. Instead of saying, this method, that method,  
17 that comparison, we'd like to focus on test methods for  
18 understanding variability and managing variability.  
19 There's a different fundamental approach to that and I hope  
20 you can see that.

21 Establishing causal links between material  
22 attribute variability and performance. So you're  
23 connecting your test measurements to release something  
24 which is meaningful.

25 Reduce reliance on lab-based test methods.

1 That's what we mean when we say move from testing to  
2 document quality to quality by design.

3           It improves focus on process understanding as  
4 compared to test to test comparisons, and with particle  
5 size, I don't think we have a clear solution in mind if we  
6 keep the focus on test to test comparisons, as we have been  
7 doing.

8           Let me change and start setting up for the next  
9 two speakers and start setting up the whole concept for the  
10 next meeting, the risk and how PAT process understanding  
11 can help us move in that direction is my focus now.

12           Now, change is risk. That has been the focus  
13 of the SUPAC debate because change is considered risky  
14 because if you have a black box, if you change something in  
15 the black box, then how do you know what the impact is  
16 unless you do all those tests to find out. That has been  
17 the framework under which we have operated, but with a high  
18 level of process understanding, change may not be bad.  
19 Change is innovation. Change is improvement also. So you  
20 really have a means for distinguishing good from bad.

21           So if you look at section 116 of the  
22 Modernization Act, a change can have a potential to have an  
23 adverse effect on identity, strength, quality, purity, or  
24 potency of a product as they may relate to the safety or  
25 effectiveness of the product. That's what the risk is.

1 And the risk categorization that we have today, if there is  
2 a substantial potential, we require a prior approval  
3 supplement. If we have a moderate potential, we now  
4 require a changes being effected-30 days or changes being  
5 effected supplement. If you have minimal potential, it's  
6 an annual report. The regulatory scrutiny is different.  
7 The test required to justify is different.

8 But through the quality by design concept and  
9 process understanding, actually what might be substantial  
10 potential now can become minimal potential through process  
11 understanding. That's the theme that we would like to  
12 think about.

13 On the review side, I think we are moving  
14 towards a quality system for review and creating a risk-  
15 based approach to the review process itself. Now, you have  
16 to consider this. What is the objective of the review  
17 process? Review is to minimize intolerable risk to patient  
18 safety. That's essentially what the end goal of that is.  
19 So in the review process, what we have to start thinking  
20 about is identify risk scenarios, assess likelihood of  
21 fault condition, assess severity of impact, assign risk  
22 grade, assess probability of detecting fault condition, and  
23 determine the mitigation strategy, if it's right or not.

24 That's what the review process in an ideal way  
25 should be in my opinion. But today it's not. It's more on

1 test and these are your batches and so forth. So how do we  
2 transition from today to something like this if this is  
3 what is desirable and what is necessary?

4 In a risk scenario perspective, what is risk of  
5 unacceptable quality? Again, building on the SUPAC  
6 example, releasing an unacceptable quality product is a  
7 risk. This could happen because of inadequate controls or  
8 specifications where you might have a new impurity that  
9 comes in or you may lead to a bioinequivalent situation, or  
10 you may have inadequate process validation. Sampling may  
11 not be representative is one example of what that risk  
12 scenario is. You have stability failures. You have  
13 bioinequivalence, and essentially the poor process quality  
14 leads to some of this. So these are the typical risk  
15 scenarios that SUPAC and other things that we have done  
16 have tried to address.

17 But I think SUPAC is one example. The  
18 biopharmaceuticals classification system was another example  
19 of the risk management that we developed before. And here  
20 the biopharmaceuticals classification system went to the  
21 heart of what is the rate-limiting step in the absorption  
22 process and how is the product and drug attributes related  
23 to that list.

24 So when we were developing this guidance, it  
25 was fortunate enough that I had the lead on this. I spent

1 a couple of years just on this guidance itself. So how did  
2 we approach this? We started looking at what are the risk  
3 factors. Manufacturing changes pre- or post-approval we  
4 have already defined as minor, moderate, and major changes  
5 based on SUPAC.

6                   There's also the issue of poor process  
7 capability. This was important in our discussion because  
8 most of the decisions we make are based on 6-12 tablets for  
9 analysis. How representative is that and how do you really  
10 rely on that decision? Plus, you have a test which could  
11 be variable itself.

12                   So the real question came back to can we rely  
13 on in vitro dissolution tests. Especially when you have a  
14 single point specification with the sampling issues, we  
15 don't know whether that correlates in vivo or not.

16                   So that was the heart of the BCS classification  
17 discussion that we had. And there were other factors that  
18 can lead to problems. So when we developed the BCS  
19 classification and allowed dissolution to be used only in  
20 the case of highly soluble, highly permeable, rapidly  
21 dissolving, we were not comfortable with saying you can  
22 rely on dissolution if you have not a rapidly dissolving  
23 tablet because clearly there are certain elements of the  
24 test method itself which are challenging, as well as  
25 unpredictability of what it means in vivo.

1           So the assessment of risk was what is the risk  
2 of bioinequivalence between two pharmaceutically equivalent  
3 products when in vitro dissolution test comparisons are  
4 used for regulatory decisions? That was the heart of the  
5 question with the BCS guidance that we developed. So we  
6 wanted to look at the likelihood of occurrence and severity  
7 of the consequences. So narrow therapeutic index came into  
8 that perspective and likelihood of occurrence was an  
9 evaluation of the entire database that we had and saying  
10 that when the dissolution is not rapid, we were not  
11 comfortable with making that decision.

12           So the regulatory decision came back as whether  
13 or not the risks are such that the project can be pursued  
14 with or without additional arrangements to mitigate that  
15 risk. And all the other arrangements that you see in a  
16 bioavailable request were designed to minimize this risk.

17           The most valuable experience that I had with  
18 this guidance was ask the question, is this decision  
19 acceptable to society? It took significant effort to make  
20 sure that it was.

21           Now, as you move towards Dennis' presentation  
22 and SUPAC-comparability protocol, I would like you to think  
23 about the PAT and quality by design and how that will  
24 evolve the SUPAC or the change management system that we  
25 have. If you look at the SUPAC guidances today, we have

1 three categories of changes, and high, medium, low are the  
2 risk levels. To a large degree, the risk levels were  
3 determined on the basis of AAPS workshop as consensus risk  
4 factors. We did extensive research at the University of  
5 Maryland that confirmed that they're fine, but the SUPAC  
6 guidance is overly conservative. If you look at the  
7 University of Maryland data, we could have made many more  
8 changes, and I think it would have happened. We did not go  
9 there because of the issue of generalization. Can we  
10 generalize the University of Maryland data based on six  
11 model compounds to the rest of the population out there?  
12 That was the reluctance. That was held us back from that  
13 perspective.

14 Now, in a "make your own SUPAC" concept, when  
15 you have a high level of process understanding, we can take  
16 the SUPAC to the next level. What I have done here is I  
17 have combined the SUPAC, high, medium, low, with GAMP-4,  
18 which is an ISPE document which has a risk assessment.  
19 Essentially it's based on failure mode/effect analysis.

20 The next two levels of improvement that we can  
21 bring in SUPAC is this. Today we do not talk about risk  
22 likelihood. Everything is risk. So we do not have a  
23 sophisticated way of saying what is the risk likelihood.  
24 When you bring development information and knowledge and  
25 quality by design concept into systems thinking, we can

1 actually start talking about risk likelihood. And if the  
2 risk likelihood is low, what is high risk today in SUPAC  
3 could become low risk based on that.

4 Example. A manufacturing site change -- Colin  
5 mentioned this to you earlier -- a change in ZIP code is a  
6 major change if it's a controlled-release product. We will  
7 require three batches of stability, a biostudy if you don't  
8 have in vitro correlation. So just changing ZIP code, no  
9 other change is that requirement.

10 Now, what is the risk likelihood? Because we  
11 are treating that as a black box. We don't know what will  
12 happen. So if you have process understanding, you know  
13 what the critical variables are, you know what the risk  
14 likelihood will be in a more sophisticated way. So you  
15 start reducing the risk likelihood. If the risk likelihood  
16 is low, then what is high risk today in SUPAC could become  
17 a low risk.

18 But that's not enough. We can go one step  
19 further. In the previous slide, you have essentially  
20 decreased the risk classification in SUPAC. The risk  
21 classification has gone down. But now suppose you have a  
22 process understanding as well as on-line controls and so  
23 forth. Even if there is a fault condition, then you  
24 improve the probability of detecting that fault condition.

25 So how do controls allow you to mitigate risk factors?

1 That was the question Gerry had raised to you. So with  
2 quality by design systems thinking, right measurements,  
3 right time, even if there is a risk factor, if you increase  
4 the probability of detecting the risk factor and sort of  
5 managing that, then from a regulatory perspective, the risk  
6 goes down.

7                   So I will wrap up here. A perspective on PAT  
8 is just one piece of the puzzle. It was a wedge to start  
9 this process. It becomes an example, but I think the  
10 entire system is this. Today I'd like to use this book by  
11 John Guaspari, A Modern Fable about Quality. "I know when  
12 I see it." In a black box situation, our chemists have to  
13 see the stability, have to see the bio to make a decision.

14       So the current situation is "I know when I see it."

15                   Vision 2020: "I can see clearly now"  
16 essentially is the direction we want to go. Here quality  
17 and performance by design, continuous real-time monitoring,  
18 specifications based on mechanistic understanding of how  
19 formulation and process factors impact product performance,  
20 high efficiency and capacity utilization, science-based  
21 regulatory decisions focused on product and process  
22 quality. That's the shared vision that we discussed with  
23 you yesterday.

24                   I will wrap up with this. We are planning an  
25 Arden House 2004 conference. Now, the PAT essentially is a

1 tool for process understanding. And this committee I think  
2 will really help us bring this together. How does process  
3 understanding link to risk-based regulatory assessment?

4 But then I think process understanding is a function of  
5 design, predictability, and capability where design is  
6 based on intended use of that product. Predictability is  
7 based on first principles modeling and so forth that you're  
8 bringing in. Capability is optimization, continuous  
9 improvement, including corrective action/preventive action.

10 I think we are trying to create this equation this is the  
11 desired state for the future.

12 And the triple integral is because it has to be  
13 across disciplines, clinical, chemistry, biopharm, and so  
14 forth. It has to be across time. And as G.K. says, it has  
15 to be across space.

16 Thank you.

17 (Applause.)

18 DR. BOEHLERT: Thank you, Ajaz.

19 Questions from the committee or comments? You  
20 did such an excellent job, that they're speechless.

21 DR. GOLD: Judy, I have a comment. I wanted to  
22 thank Ajaz for an excellent presentation. It was very,  
23 very well organized and very well presented.

24 I do have a question that perhaps you can  
25 answer. This is an excellent vision for the future. Where

1 are we right now in terms of what is happening? You  
2 mentioned that there are several initiatives underway with  
3 some of the major PhRMA companies. Are you free to discuss  
4 what those initiatives are in general terms?

5 DR. HUSSAIN: No. These are submissions. We  
6 cannot talk about that. But we have proposals being  
7 submitted for discussion and we have started moving on that  
8 already.

9 DR. GOLD: Are you free to indicate the type of  
10 technology that is contemplated at this point?

11 DR. HUSSAIN: Not really, no.

12 DR. GOLD: Not really, okay.

13 DR. BOEHLERT: Thank you.

14 Second on the agenda this morning is Dennis  
15 Bensley who is going to talk to us from CVM.

16 DR. BENSLEY: Good morning. My name is Dennis  
17 Bensley. I'm from the Center for Veterinary Medicine  
18 within the Food and Drug Administration. Yes, the FDA does  
19 regulate animal drugs and it's very similar to human drugs.  
20 So quality issues for animal drugs are just as important  
21 as they are for human drugs.

22 Before I begin, as you can see my title is  
23 "Changes Without Prior Approval: An FDA Perspective." And  
24 this is pretty much the same presentation I gave at the  
25 PQRI late last month. And some of you were there and have

1 seen this talk already. You're excused, but then again, if  
2 I excuse you that will be one-third of the audience gone,  
3 so you need to stay.

4           What this changes without prior approval is, is  
5 just another word for supplemental applications. And a  
6 little bit of a background before I continue.

7           When we get an original application for  
8 approval, one of the components of the original application  
9 is a chemistry and manufacturing control part of it. The  
10 chemists or microbiologists, the CMC reviewer will look at  
11 that information, review it, find it to be acceptable, and  
12 then eventually when the product is approved, that's what's  
13 legally binding for the sponsor. It's approved processes,  
14 it's approved specifications.

15           What's in that package can include various  
16 things: raw material controls, the formulation,  
17 manufacturing process, descriptions for both the drug  
18 product and drug substance, analytical controls, validation  
19 information on analytical controls, stability information.

20           And once we approve this application, the sponsor is  
21 legally bound to follow those items in that application or  
22 any commitments they made in that application.

23           Now, when supplemental applications happen is  
24 after the original approval of the drug product. And a  
25 manufacturing change is a constant. Chemistry and

1 manufacturing control reviewers within FDA see  
2 manufacturing changes for the lifetime of a product, which  
3 makes this kind of unique in the pre-market arena because  
4 we see supplemental changes on a continuous basis. Our  
5 focus here is primarily with those types of supplements  
6 that require prior approval from us because those are more  
7 burdensome, from a regulatory perspective, for the industry  
8 and also somewhat burdensome for us also.

9           So I'll continue with my talk. A little bit of  
10 the outline of my discussion will be just a quick  
11 introduction, background which is more of the legal aspect  
12 associated with supplemental applications. Our current FDA  
13 assessment on the supplemental changes process. Current  
14 risk analysis, and Ajaz did touch on that a bit. Somewhat  
15 on the comparability protocol, which we're very excited  
16 about. Strategic goals that we intend to do for the future  
17 regarding this area, and the conclusion.

18           Now, the Changes Without Prior Review Working  
19 Group was established by FDA'S Drug GMP Steering Committee,  
20 which was headed by Dr. Woodcock, who's the center director  
21 for CDER. The working group members. As you can see here,  
22 it's a pretty big cross-representation from the three  
23 centers and various offices, and it's co-chaired by Drs.  
24 Hussain and Sager.

25           What is the charge of the working group? It's

1 to examine the current state of the supplemental change  
2 approval process, specifically those manufacturing changes  
3 requiring prior FDA approval. And it's to identify and  
4 recommend implementation of other means to reduce reporting  
5 requirements. For example, the use of risk management  
6 tools, comparability protocols, product development  
7 information, and PAT, which Dr. Hussain just talked about.

8           The purpose of the workshop, when we presented  
9 it, was to present a summary of FDA's current thinking and  
10 activities regarding the supplemental change approval  
11 process and to stimulate discussion and constructive  
12 feedback from the stakeholders.

13           Background. What are legal requirements  
14 regarding supplemental applications? This pretty much  
15 started out from what I'm going to talk about, basically  
16 from the FDAMA, Food and Drug Administration Modernization  
17 Act of 1997. The legal requirements are that the applicant  
18 must notify FDA of each manufacturing change in accordance  
19 with section 506A of the Federal Food, Drug, and Cosmetic  
20 Act and when it's finally finalized within our regulations  
21 for both CDER and CVM. CBER has very similar language.

22           So pretty much, the applicant must report any  
23 manufacturing change that was approved in the file. If  
24 they make any changes, they must report it to us. But  
25 there are different mechanisms of reporting, and as I

1 stated earlier the prior approval supplements are the most  
2 burdensome.

3           As part of the reporting of these changes, the  
4 applicant must also assess the effects of any change on the  
5 identity, strength, quality, purity, and potency of the  
6 drug as they may relate to the safety and effectiveness of  
7 the drug before distributing the product made with the  
8 change. In layman's terms, that means they can't market  
9 the product until they get approval from us. That's for  
10 prior approval supplements. And as part of this  
11 application, they must provide information to us, data,  
12 anything that convinces us that they've done enough studies  
13 on this change, that the impact of this change will not  
14 have a significant impact on the quality of the drug  
15 product and will not impact the safety and effectiveness of  
16 the drug product.

17           There are four legal reporting categories under  
18 FDAMA and these include: prior approval, immediate CBEs,  
19 CBE-30, and annual reports.

20           Prior approvals are for major changes, and  
21 major changes are those types of changes that have a  
22 substantial potential to adversely affect the identity,  
23 strength, quality, purity, or potency of a product.  
24 Products made with a major change may not be distributed  
25 until approval. We have identified a lot of these major

1 changes through guidances. Some are identified in our  
2 proposed regulations.

3           The next category is considered moderate  
4 changes and there are two types of moderate changes.  
5 Reporting categories: these are immediate CBEs and CBE-30s  
6 and these obviously have a moderate potential to adversely  
7 affect of the drug product. Now, for immediate CBE-type  
8 changes, the product may be distributed at the time that  
9 the change is reported to the FDA.

10           The one that's actually more popular, at least  
11 for CVM, what we see more often, is the 30-day CBE. That  
12 allows the agency 30 days to determine whether that  
13 particular change that they're reporting is either a  
14 moderate or minor change or it's a major change. If we  
15 feel it's a major change, we notify the sponsor and then we  
16 review it as a prior approval and they may not implement  
17 the change. However, if we feel that, yes, we agree that  
18 it is a moderate change, they may implement the change  
19 after 30 days.

20           Then we have annual reports. This is where all  
21 the minor changes are being reported. These obviously have  
22 minimal potential to adversely affect the drug product.  
23 Obviously, they may be immediately implemented.

24           Now, the section 116 of FDAMA, which is 506A of  
25 the Act now, meet the expectation of providing regulatory

1 relief by lessening the reporting requirements of  
2 manufacturing changes without compromising the drug's  
3 quality, safety, or effectiveness. I believe the answer is  
4 yes, with a caveat. A little bit of background here.

5 Many of the types of manufacturing changes that  
6 you are going to report to the agency are identified  
7 through regulation and guidance. Section 506A of the Act  
8 and our regulations, at least the proposed regulations,  
9 identify major, moderate, and minor changes.

10 We have what I call changes guidances that are  
11 currently published. These are changes to approved NDAs or  
12 ANDAs. You see there's one for CBER and also one for CVM.

13 These are fairly harmonized documents between all three of  
14 the centers. They do identify in more detail the different  
15 types of changes and different categories.

16 Then we have various PAC and SUPAC guidances  
17 that also identify even more types of changes under the  
18 different categories, but in addition, they also describe  
19 the type of documentation to file in support of that change  
20 to the agency.

21 What was the impact of FDAMA on filing? I have  
22 it for all three centers, and since I'm from CVM I have CVM  
23 first. As you can see from pre-FDAMA times -- that's up to  
24 1997 -- about 95 percent of our manufacturing changes were  
25 reported as prior approval supplements. Post-FDAMA, 1999

1 to present, you can see that it dropped down to about 20  
2 percent for prior approval with a significant increase in  
3 CBEs and annual reports.

4 CDER, for this three-year period from 1999 to  
5 2001, sees the same trend for both pioneer and generic drug  
6 applications. As you can see, it's dropped fairly  
7 significantly for prior approval supplements, and there's  
8 obviously a concurrent increase in the CBEs.

9 CBER sees the same trend over a six-year  
10 period, going from 100 percent for PDUFA products down to,  
11 it looks like, about 25 percent for prior approval  
12 supplements.

13 So yes, these are a significant increase and  
14 decrease in the number of submissions we're seeing. So  
15 FDAMA has significantly reduced the reporting requirements.

16 However, we recognize there could be an additional  
17 improvement in the change reporting process.

18 What are our current concerns regarding the  
19 supplemental change process? Though, as I've shown you  
20 earlier, the relative percentage of prior approval  
21 supplements as compared to the other reporting categories  
22 has significantly decreased, however, the number of prior  
23 approval supplements are starting to increase because we're  
24 talking about relative numbers. So we're getting a lot  
25 more supplements based on a lot more original approvals.

1 So we're still seeing a high number of prior approval even  
2 though the relative number has decreased.

3           Though it significantly reduced overall from  
4 pre-FDAMA times, the number of reporting prior approval  
5 changes remain high for certain product types and  
6 processes. For example, sterile products is specifically  
7 more in the aseptic processing, which will be a very  
8 difficult issue to tackle because with all the models we've  
9 used so far or are contemplating, these are considered  
10 high-risk products and will likely still remain in the  
11 prior approval. But I think we still need to work in that  
12 area and try to reduce that burden somewhat.

13           We recognize that any prior approval change  
14 could affect business planning and possibly impede  
15 innovation. You have to remember, they require prior  
16 approval from us before actually implementing the change,  
17 and legally they have up to 180 days, which is six months,  
18 to make that change. Obviously, there are some variations  
19 because of PDUFA, but on the record legally, it's 180 days  
20 and sometimes it takes longer to get the approvals out. So  
21 six months is a long time to do business planning to make a  
22 change.

23           There's no guarantee that prior approval  
24 supplements will be approved during the first round. It's  
25 our experience -- and I assume it's very similar to the

1 other centers -- that 40 percent of the first round prior  
2 approval supplements are found to be incomplete. The data  
3 was not sufficient. The GMPs were not adequate. There  
4 could be all kinds of reasons.

5 We also have a compliance dilemma if we find  
6 that a changes being effected for an annual report reports  
7 a change either that should be in a higher category or the  
8 data that assesses the effects of the change is inadequate.

9 What do we do if the change has already been implemented?

10 Obviously, the act does allow for us halt distribution of  
11 a product, but it takes a lot of resources to do that. A  
12 lot of times, we like to work with the company to get this  
13 resolved, but it is a dilemma and the companies do realize  
14 that this is the dilemma that they face, that they need to  
15 address when they make these changes. Some companies are  
16 actually very reluctant to do CBE changes because of this  
17 reason.

18 What are potential solutions?

19 Use of comparability protocols. And I'll  
20 discuss that a little bit more later, and I think that  
21 could address many of the issues I just finished talking  
22 about.

23 Drafting and publishing more PAC, SUPAC  
24 guidances.

25 Identifying potential risk management tools.

1                   And encouraging the use of product development  
2 information and process control improvements, for example  
3 PAT. For product developmental information, we'd like to  
4 see the developmental report because basically the  
5 companies know how their product works, what doesn't work.

6       A lot of times we don't see that development work. That's  
7 not really part of a requirement to submit that to us as an  
8 agency. But if we see that information and they can  
9 convince us that this product is rugged, this type of  
10 change doesn't affect it, if they have that type of  
11 information, then they can propose for future changes  
12 alternatives rather than a prior approval supplement or a  
13 CBE supplement.

14                   Current risk analysis. Ajaz covered this a bit  
15 and it's a very simple model for supplemental changes. We  
16 have three potentials for adversely affecting a drug: a  
17 significant, moderate and minimal potential. The level of  
18 risks are corresponding: high, there is some risk, and  
19 there's a low risk. If it's a high risk, yes, you need a  
20 prior approval supplement. If it's a moderate risk, no,  
21 but you need a CBE supplement. And if it's a minimal risk,  
22 it's submitted in the annual report.

23                   Now, how do we determine whether a change is  
24 major or requires prior approval? When I originally wrote  
25 this up I was thinking -- because I am a team leader in CVM

1 and I deal with these issues on a daily basis. Companies  
2 call me up, I get 30-day CBEs and I have to make that  
3 determination whether it's major or minor. These are the  
4 types of questions I would go through, and it's pretty much  
5 I think what the agency does go through, too.

6           The first question that would come up, what is  
7 the likely impact of the change on the identity, strength,  
8 quality, purity, and/or potency of the drug product? And  
9 obviously, we have some changes that are actually  
10 identified in the act that they must submit as major  
11 changes, but if we believe that it has a potential adverse  
12 effect then it's likely a major change. So it's important  
13 again, in the original application, to build up that  
14 knowledge base so that we know that this is not going to  
15 have an effect.

16           Will additional clinical or non-CMC like tox  
17 studies be required? If yes, then it's likely a major  
18 change.

19           Is the reported change either not well  
20 described, too complex, or is the potential impact on the  
21 drug's safety or effectiveness not certain? If yes, then  
22 it's likely a major change. And I see this a lot. A lot  
23 of companies say, okay, we want to make this change, but  
24 there's no justification, no rationale. It's not described  
25 very clearly. For example, with a 30-day CBE, I only have

1 30 days to make the assessment, and I have many other  
2 applications to go through. I don't have time to actually  
3 do the review and determine whether it's going to be a  
4 moderate or a major change, so I'll be very conservative  
5 and make that a major change.

6 If applicable, what is the current GMP status?

7 If unacceptable, then it's likely a major change.

8 So what's the basic question that we use when  
9 we address a risk assessment, when a risk assessment is  
10 performed regarding a CMC change? Basically it comes right  
11 out of the act. It is, what is the potential -- or in  
12 other words, what is the risk -- for the change to  
13 adversely affect the drug product? The potential risk for  
14 a CMC change increases when the knowledge regarding the  
15 potential impact of the change decreases.

16 What is the purpose of a prior approval  
17 supplement for specific changes? Well, these are changes  
18 that we identified, those having a substantial potential to  
19 adversely affect the drug. This is just based on our  
20 history and our experiences in reviewing these drug  
21 applications. We have these listed in the regulations. We  
22 have these listed in the guidance documents. And it allows  
23 the FDA time to review and concur or not concur with the  
24 proposed major change and its assessment prior to product  
25 distribution.

1           FDA tends to be conservative in regard to  
2 accepting levels of risk. If we are not certain about the  
3 potential risks, then a higher filing category will likely  
4 be required. That goes, again, back to building up that  
5 knowledge base for original approvals. PAT will nicely  
6 address that also.

7           FDA employees use risk analysis daily. I think  
8 everyone here uses risk analysis daily. For example,  
9 deciding whether a change is major or moderate, that's a  
10 thirty-day CBE assessment. In CVM, that's a team leader's  
11 job. That's what I do. Deciding whether the assessment of  
12 the change is satisfactory or not is part of the review  
13 process. Deciding whether a GMP inspection is required or  
14 not. And you can see CBER has an SOP regarding that.

15           However, risk assessments for CMC changes are  
16 neither formalized nor uniformly structured throughout FDA.  
17 It can either be very subjective individually as, for  
18 example, myself as team leader, I make a decision. It may  
19 not necessarily be what the other team leaders agree to, or  
20 as a group. Maybe CDER makes a decision that may not  
21 necessarily be what the other centers agree to.

22           Possible ways to reduce the risk potential  
23 include the use of comparability protocols. The premise is  
24 the acceptance of proposed assessments of anticipated  
25 change will likely lessen risk of implementing the change,

1 which will lead to less burdensome reporting categories.

2           An applicant may establish their own filing  
3 criteria based on developmental information in original or  
4 supplemental applications. The premise is increase in  
5 scientific understanding or knowledge of a change's impact  
6 may lessen risk for implementing the change and could lead  
7 to a less burdensome reporting category.

8           Incorporating significant process control  
9 improvements. For example, PAT. Improvement in process  
10 controls may lessen risk for producing poor products and  
11 could lead to less burdensome reporting categories.

12           Can other risk analysis models be used to  
13 identify the level of risk for implementing CMC changes?  
14 For example, can we identify through risk assessment low-  
15 risk drugs, dosage forms, processes, et cetera, and  
16 significantly reduce the number of changes requiring prior  
17 approval before implementation?

18           Now, on to comparability protocols. What is a  
19 comparability protocol? A comparability protocol is a  
20 well-defined, detailed, written plan that prospectively  
21 specifies the test and studies that will be performed,  
22 analytical procedures that will be used, and acceptance  
23 criteria that will be achieved to assess the effects of  
24 specific changes for specific products.

25           A draft guidance for CPs has been published

1 recently, for what I call the small molecules, and the  
2 public comment ends by the end of next month. A CP is  
3 described in the proposed regulations, and actually in the  
4 current regulations too, and FDA believes that additional  
5 prior approval changes can be reported in CBEs or annual  
6 reports through the use of a comparability protocol.

7           What are the uses and benefits of a  
8 comparability protocol? If you recall, a comparability  
9 protocol is actually submitted to us as either a  
10 supplemental application -- so it is a prior approval, so  
11 we do have a prospective analysis of that -- or it can also  
12 be submitted as part of an original application.

13           What are the uses and benefits? It can allow  
14 for a reduced reporting category of CMC changes covered by  
15 the approved CP. The CP can describe single or multiple  
16 related CMC changes, including those that may occur  
17 sequentially over a period of time.

18           Earlier implementation of manufacturing  
19 changes. Likely reduction in incomplete deficiency letters  
20 issued by FDA, more first-round approvals, because the  
21 means of assessing the change has been approved in the CP.

22           This gets back to my earlier slide when I said 40 percent  
23 of the prior approval supplements are found to be  
24 deficient. If we had a prospective analysis of those types  
25 of changes, and we agreed to the type of testing they will

1 do, then likely that would be reduced significantly and we  
2 could get more approvals out.

3           They allow a sponsor to design his own changes  
4 filing and documentation criteria based on experience with  
5 the drug product or similar drug products. For example,  
6 developmental studies. Ajaz coined the term, "make your  
7 own SUPAC" concept.

8           It allows sponsors to continually improve  
9 manufacturing processes without necessarily requiring prior  
10 FDA approval, potential for PAT implementation. I can see  
11 PAT being introduced as part of a comparability protocol.

12           Reduces the potential risk for the change to  
13 adversely affect the drug.

14           And it's the potential win-win situation for a  
15 public, industry, and FDA. You get timely products. The  
16 quality in many cases actually improves if you use PAT, and  
17 it actually reduces some of the burden of reviewing from  
18 our end.

19           Unfortunately, for CPs, there's limited CDER  
20 experience, and absolutely no experience for CVM, so I'm  
21 the perfect person to talk about this subject. CBER has  
22 most of the experience because I believe the comparability  
23 protocol is a concept that was devised by them. Currently  
24 they have more than 100 comparability protocols that have  
25 been successfully used for CMC changes for all product

1 classes since 1997, and a submission of developmental  
2 information of CPs has convinced CBER to accept reduced  
3 reporting categories for some CMC changes.

4           This is very good news, I thought, because CBER  
5 tends to have more of the complex products, the biologics  
6 and so forth, as compared to CDER and CVM. So if they're  
7 able to do this then I'm certain that CDER and CVM can just  
8 as easily do it.

9           What are our goals? We're going to publish  
10 another draft comparability protocol called Large  
11 Molecules. That's primarily the protein molecules.  
12 Finalize both the comparability protocols, continue to  
13 amend or introduce new PAC/SUPAC guidances, and hopefully  
14 publish the final regulations for all three centers.  
15 Conduct studies. This is part of our working groups' jobs.  
16       Conduct studies evaluating existing data on prior approval  
17 changes and identify opportunities for further reducing of  
18 reporting categories. That includes determination of the  
19 number and types of prior approval supplements submitted to  
20 each center over a designated time period. To a small  
21 degree CVM has already done some of the studies, and we  
22 shared that with CDER and CBER. Identify other potential  
23 risk models or other means for reducing reporting  
24 categories, and consider additional ideas as the result of  
25 discussion and feedback received during workshops.

1                   And these were the following discussion points  
2 that we had during the workshop. Scientific risk-based  
3 approaches for identifying low-risk manufacturing changes,  
4 the comparability protocols, and effective use of  
5 developmental data and other information to justify less  
6 burdensome filing requirements.

7                   And that's it. Thank you.

8                   DR. BOEHLERT: Thank you. Questions, comments?  
9 Tom?

10                  DR. LAYLOFF: Yes. I had one question on it.  
11 This is a harmonization activity on CP, and is CBER  
12 involved in harmonization also?

13                  DR. BENSLEY: Yes.

14                  DR. LAYLOFF: So you're going to have a single  
15 regulation for CVM, CDER and CBER as to how --

16                  DR. BENSLEY: We're going to have the same  
17 guidance, yes. All three centers are on the same guidance,  
18 yes.

19                  DR. LAYLOFF: How many different guidances are  
20 there in this harmonization process?

21                  DR. BENSLEY: In the comparability protocol?  
22 In the other ones? Well, we have what I call the changes  
23 guidances. CDER has their own. We have our own because  
24 our products are a little bit different from theirs, so we  
25 sort of have to adjust it differently, but the language is

1 very similar. CBER has their own. SUPAC/PAC documents. I  
2 don't believe CBER has any of those, but CVM is harmonizing  
3 with CDER on a number of those. It's mostly CDER's.

4 DR. LAYLOFF: So the agency is moving to  
5 harmonize.

6 DR. BENSLEY: Yes.

7 DR. BOEHLERT: Any other questions or comments  
8 from the committee members? Efraim?

9 DR. SHEK: I have a question with regard to the  
10 statistics you have shown and the change, I believe, moving  
11 from preapproval supplements to CBEs. And I believe those  
12 changes are for the better to improve the product or the  
13 process. I wonder whether the total request for changes  
14 has increased as well because what you have shown is the  
15 relative. Are more companies submitting more requests for  
16 changes than they used to do before?

17 DR. BENSLEY: Yes, it's a little more difficult  
18 to define because we base it on applications. Our metrics  
19 is based on the applications. There could be multiple  
20 changes within an application, or annual reports could have  
21 dozens and dozens of changes reported in those. So it's  
22 kind of difficult to make an assessment. But it seems,  
23 from a personal experience, I think there are more changes  
24 being reported in CBEs and definitely a lot more reported  
25 in annual reports. So we're seeing less and less prior

1 approval supplements, in general.

2 DR. HUSSAIN: We looked at some of the  
3 statistics on the CDER side in terms of number of  
4 supplements coming in. Since the number of applications  
5 being approved are increasing, I think the number of  
6 supplements are on the increase also. At the last count  
7 when we did that for the Science Board, I think we were  
8 over 4,000 supplements a year.

9 DR. GOLD: Dennis, a question. On the length  
10 of time that it takes on average to approve a prior  
11 approval supplement, has there been any change in that time  
12 period during these numbers of years?

13 DR. BENSLEY: I think with CDER I think they  
14 can respond to that from, I guess, the PDUFA funding. They  
15 have 120-day cycle for prior approval? I don't know.

16 DR. HUSSAIN: 180.

17 DR. BENSLEY: It's 180 days? Okay.

18 DR. GOLD: That's the allowed time. What I'm  
19 asking for is, do you have any statistics on the actual  
20 time for approvals?

21 DR. BENSLEY: I can only speak for CVM, and  
22 we're seeing a reduced time in reporting now.

23 DR. GOLD: Let me just say, that would be a  
24 very interesting number for perhaps this committee.  
25 Certainly it would be a very interesting number for us to

1 look at, I think.

2 DR. BOEHLERT: Pat?

3 DR. DeLUCA: Yes, Pat DeLuca. Your slide 52  
4 mentioned there were 100 comparability protocols that CBER  
5 had successfully processed.

6 DR. BENSLEY: Yes.

7 DR. DeLUCA: What was the number that was  
8 submitted? Do you have an idea?

9 DR. BENSLEY: No, they didn't share that with  
10 me, so I don't know. I would assume it would be over 100.

11 DR. GOLD: I have another comment. I've heard  
12 from various practitioners that in the drug product area  
13 that the preparation submission of comparability protocols  
14 is not a very attractive opportunity because they're really  
15 not able to predict the type of change well ahead of time  
16 that they may want to make. And that may largely be the  
17 reason why you have reported no comparability protocols in  
18 the CDER area.

19 DR. BENSLEY: I think it's a misunderstanding  
20 too, from industry. With our industry, they just didn't  
21 read it close enough. They just thought it was another  
22 protocol, and it had to be submitted as a prior approval  
23 supplement. They didn't understand what they could do with  
24 that protocol. So basically if they have a plan change in  
25 the future and they know about it, or they have changes

1 that are constant, maybe across product lines that they  
2 know it's going to happen, then those are ideal cases to be  
3 submitted as a comparability protocol.

4 A lot of the companies, after the PQRI,  
5 especially for our stakeholders, they'll say, we're going  
6 to be submitting something to you now, now that we  
7 understand it. It's just a matter of getting the word out  
8 there and having them understand it.

9 DR. GOLD: Dennis, I hope you're correct.

10 DR. BOEHLERT: Any other comments or questions?  
11 G.K.?

12 DR. RAJU: Dennis, to what extent do the phase  
13 IV data from the world out there help you decide your risk  
14 as you go forward deciding when something should be prior  
15 approval? It seems like that's real data around safety and  
16 efficacy. Does that come into your database somewhere?

17 DR. BENSLEY: Yes. I mean, I only can speak  
18 for CVM. We don't have phase IV. We have clinical studies  
19 and it's based on the marketed drug product. So we don't  
20 have the same phases as CDER has. But yes, we consider the  
21 safety and effectiveness, and we do consult the appropriate  
22 people within our center.

23 DR. HUSSAIN: Well, I think in terms of phase  
24 IV commitment, these are predominantly clinical studies,  
25 extra studies, different populations and so forth. First

1 of all, I don't think we have truly gone out to say what  
2 value that does add. We haven't done that analysis. And  
3 so the answer is probably not much. The clinical studies  
4 keep coming in, and I had an opportunity just recently to  
5 go through one application, all the phase IV commitment. I  
6 did not see any connection on that particular application  
7 back to the CMC process. My guess is, not much.

8 DR. BENSLEY: And it's even less for us.

9 DR. RAJU: Do you have the recalls and FIR kind  
10 of data?

11 DR. HUSSAIN: That's not phase IV commitment.

12 Let me share with you. I think this is an  
13 important point, and as part of the systems thinking, at  
14 some point we want to sort of bring in the CA/PA concept,  
15 this corrective action/preventive action. What is  
16 happening today is these reports come in in different parts  
17 of the agency and so forth. So, first of all, we don't  
18 have those connected well enough.

19 The second is, some of the categories we  
20 collect this information is not truly ideal. So David  
21 Horowitz and the Office of Compliance actually are moving  
22 towards a better way of managing that. I think that would  
23 really help.

24 I have sort of been struggling with this  
25 because I chair a committee called Therapeutic

1 Inequivalence Action Coordinating Committee, assessing all  
2 the reports that come in on therapeutic inequivalence of  
3 generic drugs, and try to sort of connect the loop on that  
4 as part of systems thinking. We struggle a lot because the  
5 quality of information available in some of these reports  
6 do not really allow us to really get to the root cause and  
7 so forth. So there is an element of improvement for that,  
8 and what the Office of Compliance is doing with their  
9 surveillance and their databases I think will be a step in  
10 the right direction.

11           At some point I think we really need to go back  
12 and look at how are we capturing this, what are the  
13 categories, and so forth. I think we'll have to improve  
14 that process also.

15           DR. BOEHLERT: Any other questions or comments?

16           If not, thanks, Dennis. We're now scheduled for a break  
17 and we'll reconvene promptly at 10:15.

18           (Recess.)

19           DR. BOEHLERT: We'll get started. Our next  
20 speaker is Gregg Claycamp, who's going to talk on risk  
21 analysis.

22           DR. CLAYCAMP: Thank you, and good morning.

23           I have come to the FDA only two years ago from  
24 academia, and so I am offering the GMP initiative as a more  
25 generic and theoretical approach to how risk analysis is

1 done in a variety of fields and how it might be brought to  
2 bear on this problem.

3 I'm also at CVM, and one of the opportunities  
4 at CVM is that we do have a side that is an animal drug  
5 side, but we also track the human health risk through the  
6 fact that we eat food animals, and so we're looking at a  
7 broad range of risk-based issues.

8 This talk will start with some premise and  
9 questions. We'll spend a little time on basic risk  
10 analysis, and that is a very broad overview. It's not  
11 going to be a probability calculus exercise or anything  
12 like that. At the same time, I hope I don't talk under  
13 anyone in trying to capture a wide range of backgrounds  
14 here.

15 The talk will then go on to some possible ways  
16 of bringing risk assessment into this initiative, and risk  
17 management. Risk ranking is a possible way of doing that,  
18 and we'll talk a little bit about that, then conclude with  
19 some other ideas on pilot scales. And, of course, these  
20 ideas are only discussion at this point. There isn't a  
21 guidance that I'm either presenting or promoting at this  
22 point.

23 The way that I've looked at this problem and  
24 heard it from a variety of work groups that I've had an  
25 opportunity to visit and work with is that the in GMP

1 process, from an inspectional point of view, there's a  
2 variety of risks, and those might be linked to actual items  
3 in the GMPs or not, but they're kind of all over the map in  
4 terms of the actual risk to public health. And at the  
5 other side there is the risk to the patient and, more  
6 generally speaking, the risk in public health terms. These  
7 two factors are really out of alignment in the current  
8 conception of this issue.

9           What we would like to do is to line up the  
10 actual inspection part of GMP and the concepts in GMP risk  
11 assessment with the actual patient risk and/or public  
12 health risk in a broader sense. That's certainly not an  
13 easy task to do. Like many have said, it's a process of  
14 getting together and deciding who's going to make first  
15 steps at this very difficult and tricky area to work in.

16           Somewhere back in history we can assume that  
17 each one of the GMPs had a risk basis for it in the first  
18 place, but things change over time and we need to think  
19 about how to reassess those risks and realign the GMP risk  
20 with the actual public health risk.

21           So the question, as I see it, is can risk  
22 management theory tools or practice be employed in this  
23 process, and secondly, there's a broader need of how can we  
24 share a common language about risk and risk management, and  
25 ultimately science-based decision making so that we can

1 develop a high quality risk management model in this area.

2                   What theories and tools and lessons have been  
3 learned in risk analysis that can help address these  
4 questions? Well, there are off-the-shelf models and tools  
5 that might be used, for example, and there are other  
6 questions that we might ask about which risk management  
7 processes can foster the changes needed in both the  
8 regulatory and industrial arenas.

9                   Well, starting with some basics, as I taught  
10 for quite a while in academics, the first question I  
11 brought to a course the first day of every semester in a  
12 risk course was, how many of you out there do risk  
13 assessment? It's surprising that even in a graduate school  
14 of public health you don't get very many hands going up.  
15 In fact, risk and its concept is extremely broadly based,  
16 and it's something that everybody does all of the time. So  
17 in that sense it can be something that's extremely  
18 intuitive. That is to say, you do it without any conscious  
19 forethought. And at the same time, most of us can think of  
20 a risk analysis in the government or in industry that is  
21 extremely complex and sophisticated and has many experts  
22 brought in to work on the problem.

23                   Risk is defined in many different, yet similar,  
24 ways as you go from field to field. It's almost a hobby of  
25 mine to look at the many different ways that risk is

1 defined and try to tease out of domain-specific definitions  
2 the constant features of risk. And I think for this  
3 exercise we can take a very fundamental approach and say  
4 that risk is an exposure to a chance of loss, and moreover  
5 that's losing something we value. So it doesn't mean that  
6 there's necessarily a loss of money or health or life, but  
7 it could be even something that's more aesthetically  
8 defined.

9           When we get closer to the formalism of risk,  
10 which I will not go into really any formalism today, risk  
11 is defined as some combination of hazard and exposure. In  
12 other words, you can't really get risk from a given hazard  
13 unless you're exposed to it. There's no way the hazards of  
14 vehicles, when you're thinking about crossing the street,  
15 give you risk until you step into the street. Then you're  
16 exposed to it and you have a significant risk of an adverse  
17 effect.

18           This simple definition assumes we're looking  
19 under a single consequence or a class of consequences. One  
20 of the things in this area is we'll see that there's a wide  
21 range of consequences, all the way from a possible death as  
22 an adverse event to an effect on quality, which is more  
23 difficult to measure quality by itself. In other words,  
24 one of my colleagues on a committee said, well, what if  
25 there's a gel capsule and it has spots on it that have no

1 effect on safety or efficacy, what kind of risk is that?  
2 And so there is a huge range and we must assume each time  
3 we approach a more specific risk analysis that we're  
4 considering a given consequence. We'll come back to that  
5 later.

6 Contemporary risk analysis has models in just  
7 about every field, of any science-based endeavors for sure,  
8 and most other business fields. I like to think of it as  
9 including four major activities.

10 Hazard identification, which is also called  
11 problem identification by some fields. It's actually  
12 looking at what could be a problem out there and just  
13 asking that simple question.

14 Risk assessment is the more formalized process  
15 of assessing the risk, given exposure to that hazard.

16 And risk management is the process when you  
17 start to take that information you gained from the risk  
18 assessment and use it to support decisions you have to make  
19 as a manager, as a risk manager.

20 There's also a fourth activity that's very  
21 important, especially in regulatory risk assessment, and  
22 that is risk communication. That's the process of sharing  
23 information among all of these phases of risk analysis and  
24 engaging stakeholder communities in the discussion and  
25 trying to put the sometimes sophisticated risk analyses

1 into everyday terms.

2 Risk assessment usually precedes risk  
3 management. Risk assessment, as I'm using it, is not a  
4 single process, but as a National Academy of Sciences  
5 committee said in 1994, it's a systematic approach to  
6 organizing and analyzing scientific knowledge and  
7 information. That's a fairly robust definition, that if we  
8 spend a lot of time saying, well, whose risk model is the  
9 exact fit for this exercise, we could spend hours and hours  
10 looking at the literature and various paradigms for this  
11 process. But if we bear in mind that it's the process of  
12 organizing scientific information, it becomes a more  
13 tractable task.

14 So these paradigms that are there for risk  
15 analysis in various fields are really geared for the  
16 execution of the risk assessment, but there are fundamental  
17 principles shared in the process of risk assessment in a  
18 more broad basis. For example, risk assessment generally  
19 asks, what can go wrong? What's the likelihood it would go  
20 wrong? And there we get likelihood. We're getting closer  
21 to the probability concepts, the chances. And what are the  
22 consequences should that go wrong?

23 On the other hand, you know you've entered the  
24 realm of risk management when you start to ask, well, what  
25 can I do and what can be done with this problem? What are

1 the options available, given that there are many different  
2 ways to address a particular problem? And what are the  
3 risk tradeoffs in terms of risk benefits and costs? So the  
4 managers are stuck with the task of figuring out, well, if  
5 I go fix risk A, what did that mean for risk B. It's  
6 certainly a big job on its own.

7           What are the impacts of current risk management  
8 decisions on future options? So the risk manager also has  
9 to be looking forward to the effects of their decisions on  
10 the risks and on generating new risks.

11           Well, as presently practiced, risk analysis  
12 gets even further complicated, and that's that we have a  
13 democratic society for how we deal with our public health  
14 regulations and risks. We might think of this risk  
15 analysis in a democracy as risk assessment, as providing  
16 the facts. It's often thought of as the "ivory tower" part  
17 of the risk analysis group, that risk assessment is the  
18 objective place. Well, we could argue at length how  
19 objective science is in general, but take it, for  
20 simplifying argument at the present, that those are the  
21 facts. And risk assessment then idealistically would line  
22 up the facts from worst to best in terms of the risk.

23           Well, risk management decisions are managing  
24 risks, and those decisions are value-laden decisions.  
25 There are all sorts of parties to a risk management

1 decision, from the public to the agency and to industry, et  
2 cetera. So we bring values into the picture and we bring  
3 costs and all those other factors that may not deal  
4 directly with the actual estimate of health risk, and we  
5 end up realigning, re-prioritizing. This is in a global  
6 sense, as agencies look at their risks and try to manage  
7 them.

8           The questions I asked before, what can go wrong  
9 and what are the consequences, fall within the risk  
10 paradigm here, which in some of the literature in the  
11 health risk assessment area would be broken into release  
12 assessment, exposure assessment, et cetera. In the GMP  
13 problem, we could call that, for example, the starting  
14 place might be to just say a GMP failure as a more broadly  
15 based term that would fit this particular problem.

16           For the possible stages of risk assessment for  
17 this initiative, hazard identification is going on all the  
18 time in the review process and the inspection process, and  
19 I'm sure in planning. What can go wrong? What are the  
20 events that can bring potential risk to the public and to  
21 patients? This is identifying also the hazardous agents  
22 and those in more traditional health risk assessment are  
23 thought of as the chemical, biological, or physical agents  
24 themselves, but in our terminology here it may be more  
25 useful to think of an event itself.

1           Given that the event occurs, is the consequence  
2 catastrophic, is it mildly annoying? In trying to identify  
3 the problems out there these are the types of questions  
4 that you would ask. How likely are the events to occur?  
5 For example, what essentially happens in practice is that  
6 risk managers are looking at potential hazards to send to  
7 the risk assessment team. You need to have some rough  
8 idea, generally from experts who are familiar with the  
9 area, who would say, this is really a big event, the big  
10 problem, or it's a small one, and they can get a crude  
11 estimate of risk for prioritization purposes.

12           Exposure assessment in the risk assessment  
13 process is conveniently broken into a couple of  
14 compartments, and not all people in risk analysis do that,  
15 but conceptually there are at least two processes going on.  
16 One is there's a release. You can think of that as the  
17 source term, is that hazards and hazardous agents are being  
18 released, but again recall that risk only happens when you  
19 have hazard and exposure.

20           So we might think of breaking apart the process  
21 and saying, well, how much is being released out here, and  
22 then a separate question is getting to the consumer end,  
23 how much are they exposed to, how much actually makes it  
24 out of the drug manufacturing facility, through the  
25 distributor to the retail counter, et cetera, or to the

1 pharmacy. It is very helpful to think about exposure  
2 assessment in pieces simply because it's a huge undertaking  
3 to go from something that may happen on a process line all  
4 the way to what's in my medicine chest at home. There's a  
5 whole lot of events and physics and human factors and so  
6 forth to try to tally in between.

7           So for example, the release could ask, is a  
8 non-sterile event, whatever that may be, involving one or  
9 10,000 vials? That's a release question. How many of  
10 those happen? If the hazardous event occurs, exposure  
11 assessment asks, what are the pathways that expose humans  
12 to the hazard? That is a huge undertaking just to consider  
13 ways that people can be exposed. Then the extent of  
14 exposure gets at, given the event, how many people are  
15 potentially in harm's way.

16           So in the context of GMP assessments, how  
17 frequent are the identified GMP events, and what is the  
18 boundary of release? Do we call it at the process line,  
19 the plant, the warehouse, the distributor? And release  
20 rates or faults could be obtained a variety of different  
21 ways in order to do this release assessment, including  
22 fault trees, empirically based assessments. You can have  
23 historical data, expert analyses. For example, one of the  
24 ways this is written up in more manufacturing areas is  
25 failure modes and effects analysis is one of the ways to

1 get at those data for release and exposure.

2           Consequence assessment. Given an exposure to a  
3 hazardous event or agent, what's the likelihood of harm  
4 under a predefined endpoint? And this is really a process  
5 in consequence assessment that is done in drug approvals  
6 all the time and drug research, and that's that you ask,  
7 what is the effect level given a dose. You can take it as  
8 that isolated of a question. So endpoint examples could  
9 run from death all the way to inspection-based criteria.  
10 It doesn't have to be a human endpoint. We could ask, if  
11 we have so many events, what's the likelihood it will  
12 generate an administrative action by the agency? That's a  
13 real practical point for modeling in terms of business  
14 needs.

15           So classically speaking, consequence assessment  
16 in the health arena looks like a dose response curve and  
17 just as, again, an example off the top of my head was to  
18 take a quantity of contamination, say non-sterility, but it  
19 could be metered in terms of bacteria counts per vial, and  
20 what's the proportion of exposed persons who would become  
21 ill. That is classical dose-response. It may have  
22 quantitative measures such as the dose that causes the  
23 effect in 50 percent of the population.

24           What we'll see in this area is that most of the  
25 hazards identified in a GMP framework are going to defy

1 quantitative dose-response analyses for the risk analyst,  
2 and you'll see more of a low, medium, high type of  
3 qualitative/quantitative assessment as we've seen in a  
4 couple of presentations. This is saying that in our minds  
5 there's some kind of relationship going on that if you have  
6 greater increasing units of whatever dose metric it is, you  
7 would expect greater effect. But we'll probably seldom see  
8 a quantitative relationship.

9           Finally, the last step of the risk assessment  
10 portion is to bring together the hazard, the extent of the  
11 exposures, the consequences, and estimate the risk. As the  
12 contemporary practice of risk analysis has evolved, it has  
13 focused more and more on the importance of thoroughly  
14 describing the limitations in the risk assessment and  
15 thoroughly describing the uncertainties in the estimate of  
16 risk.

17           As one colleague in the risk analysis field  
18 says when health risk assessors argue about, say, the exact  
19 cancer risk from an environmental release, he always  
20 characterizes it as, why should we worry about where that  
21 point is when the uncertainty is like this? If you don't  
22 know what your uncertainty is, you really don't know much  
23 about the risk estimate.

24           In risk analysis, the field prefers to think of  
25 uncertainty, which is a well-formalized mathematical

1 concept and statistical concept, but we like to add another  
2 dimension to it, and that's to break uncertainty into  
3 pieces, including the part of uncertainty that's created by  
4 a lack of knowledge and the part of uncertainty that's just  
5 regular variability.

6           So, for example, we have a normal variability  
7 among a group of individuals when you try to characterize,  
8 say, heights and weights in a room. They vary, and you  
9 can't get rid of that variation by learning more about  
10 everybody in this room. There would be that variation.

11           However, if I were using this room as a sample  
12 of height and weight in the United States, I would have  
13 quite a bit of uncertainty about that variability. Is this  
14 measure of variability adequate to describe the population  
15 of the U.S.? So there, that part of the uncertainty is due  
16 to my lack of knowledge about the variability in the height  
17 and weight in that case.

18           So risk assessments, we'll spend a good deal of  
19 time sorting that out and talking about what could be  
20 reduced. Dennis said that the potential risk increases as  
21 the knowledge decreases, and that's another way of saying  
22 that we like to think that as knowledge increases,  
23 uncertainty decreases.

24           So that's some very quick concepts about risk  
25 assessment. We're about halfway through a semester course

1 in brief form.

2 (Laughter.)

3 DR. CLAYCAMP: Now on to trying to put a more  
4 domain-specific spin on these concepts.

5 First of all, regarding the GMP risk management  
6 problem, as I've been referring to it, there's a diverse  
7 collection of hazards that have been identified. I know  
8 there's guidance from Canada listing the types of GMP  
9 processes, whether they be high risk, medium risk, low  
10 risk, and the same types of activities are going on in the  
11 GMP initiative here.

12 I know I've gathered from a few lists ideas  
13 such as a risk factor being lyophilization and a risk  
14 factor is dry mixing or blending, and one called cartoning  
15 and packaging, and so forth. Well, the first reaction that  
16 a risk analyst has in seeing such lists is that the  
17 endpoints are all over the map. You could envision at each  
18 given risk factor, well, maybe there's a risk of lethality.

19 Maybe there's no risk of lethality that's imaginable, if a  
20 piece of the carton is wrong or something that affects  
21 quality. So the question that comes to mind is, well, how  
22 do you sort those out and try to put them all on the same  
23 page in terms of the actual human health risk, or actually  
24 quality risks?

25 So it's a wide-ranging risk that comes out of

1 this, and there are wide-ranging consequences. There is  
2 all the way from death to just worry about the product,  
3 which could have an impact on compliance if someone is just  
4 worried about the quality of the product.

5           The quantitative risk analysis on a hazard-by-  
6 hazard basis in my view is too vast an undertaking. Not  
7 that I wouldn't like to see full employment for risk  
8 analysts for the next 50 years, but it's extremely vast,  
9 and I'll try to give you some feeling for that problem.

10           Ranking of risks or to re-link the worst GMP  
11 risks with the health risks might be a more tractable  
12 approach. And ultimately we're trying to, in this list of  
13 factors in GMP areas, we're trying to objectively rank  
14 apples and oranges among potatoes and beans. So it goes  
15 beyond the usual mixed problem of the apples and oranges.

16           And also there are the questions we constantly  
17 consider, whether you're in the private sector or in the  
18 government, and that's, how do you balance the cost of a  
19 high quality analysis with the need for reducing  
20 uncertainty? So there's trading off that goes on all the  
21 time. From having an expert down here on the qualitative  
22 scale, you might have an expert grab the back of the  
23 envelope, make a couple of quick calculations and give you  
24 a risk. Well, is that good enough? That comes with a very  
25 high degree of uncertainty and you end up facing these

1 kinds of questions. As I've just mentioned, I think it's  
2 too vast an undertaking, fault by fault, to go through  
3 this.

4           So let's just strengthen that idea a little bit  
5 and think about something simple in our everyday life, and  
6 in the urge of a risk analyst to take something apart into  
7 its smallest pieces, what does that look like? Well, this  
8 took me a couple of minutes to put together, and it's only  
9 a beginning, really. If your light bulb doesn't light on  
10 your desk at home, how come? You can go backwards and say,  
11 well, there was no electricity, or the glass is broken on  
12 the bulb, or the filament is broken, or there's a vacuum  
13 leak. You can go backward from no electricity and say,  
14 well, it could have happened because the power plant  
15 failed, or the power line failed, which goes backwards to,  
16 well, maybe a tree fell on it, et cetera. This is a small  
17 piece of one event in the mind of a risk analyst.

18           The fact is, if you get to an industrial  
19 process, it just magnifies over and over. When I first  
20 came to the GMP work groups, the vision, being a recently  
21 recovering academic --

22           (Laughter.)

23           DR. CLAYCAMP: -- was, wow, we could take one  
24 risk factor per Ph.D. student and they could break into  
25 this for the next 100 Ph.D. students.

1                   So how do we get this problem that is so  
2 potentially large and get it into the scope of a manageable  
3 exercise, manageable in terms of producing the desired  
4 effect as well?

5                   Well, decision models -- I just stuck one in --  
6 are also as complex as doing the fault approach that I  
7 showed. The potential solution is that there are simpler,  
8 multi-factor approaches to risk assessment and management  
9 that already exist. And they have been in practice for  
10 literally decades and there's already even some software  
11 tools that help you do this. The overarching question here  
12 is, from the risk analysis side is that we need to look at  
13 these methods, the wide range of methods, and appropriately  
14 scale the approach to the question, to the quality of data,  
15 and to the nature of the decision we need to make, and to  
16 our understanding of the whole process.

17                   So as a starting point, it's helpful to state  
18 the assumption, and that's that compliance risks are  
19 historically -- we think that if you increase compliance,  
20 the overall health risk would go down. We also think  
21 increased compliance with GMP leads to an increase in  
22 quality. Otherwise, why would we have the process in the  
23 first place?

24                   Given the assumption, can we model compliance  
25 risk as a surrogate of health risk? That is a pretty broad

1 starting assumption, but nevertheless, for this purpose we  
2 can move on into a little more detail with it.

3           In GMP failures, considering those to be the  
4 hazards, what can go wrong? You could organize this into a  
5 top level to get a multi-factor risk ranking. You could  
6 organize it in terms of health, compliance, resources,  
7 sociopolitical, and there should be an ellipsis there  
8 because it could go on to other factors. In that brief  
9 list, out of a long list of risk factors, the mixed ones,  
10 sterility and cartoning and packaging and so forth, we  
11 would take them one at a time and say, what does this mean  
12 in terms of health, and try to rank up a list of risk  
13 factors.

14           What does it mean in terms of my compliance  
15 risk? What are the odds that having a fault in cartoning  
16 will lead to an OAI or VAI on the next inspection? There  
17 could be resources needs, et cetera.

18           Then there's a second level of organization  
19 that includes looking at what exactly is the detail in the  
20 hazard, or the GMP failure, in terms of is it a sterility  
21 problem, dose, toxicity, et cetera. And there can even be  
22 finer details that we need not go into any further at this  
23 point.

24           So we'd start with the assumption, state the  
25 questions to be answered, sort under those questions, and

1 re-sort, et cetera. What this might look like in a multi-  
2 factor approach is just basically lining this up. Risk  
3 analysis. Sometimes I feel like we're explaining common  
4 sense a lot of times, and when I get that skepticism of,  
5 well, no, it looks kind of fancy, well, just think of  
6 trying to decide if you have restaurants A, B, C, and D,  
7 how do you decide which one to go to? If you're just going  
8 by yourself you might say, well, gee, A has the highest  
9 price and I don't want to spend the money, so maybe I'll go  
10 to D. But B has the best food, and so forth. Or C, you  
11 have to wear a coat and tie and I don't want to do that.  
12 But you're taking them one factor at a time in your mind.  
13 Then you have some model for combining those decision  
14 variables into your overall decision.

15           Well, that's fine and simple and I hope nobody  
16 goes through a quantitative exercise to do that, but in  
17 fact, when you get into a group, now you've got a group of  
18 decision makers and they each are working one of those  
19 models and we all know how hard it is in an advisory group  
20 or study section or something like that to decide where to  
21 go to dinner as a group.

22           That's essentially the process that's going on  
23 here, as we look at each factor one at a time, under these  
24 categories. So there would be health risk endpoints to  
25 rank risk factors identified as either GMP items or new GMP

1 items that could be organized under health, compliance, et  
2 cetera.

3           This just breaks it further, that if the  
4 endpoint were death, is sterility the problem, linking it  
5 to death? Was it a lyophilization step? Final sterility?

6       Where are the things that lead to that particular one, and  
7 each one would have its own characteristics.

8           A second step after that organization is we  
9 need some kind of prevalence estimate to get the initial  
10 estimates of the risk. This would borrow from data that  
11 are taken as in-plant failure analysis, failure in  
12 compliance inspections, failure rates, and human adverse  
13 events. Just a quick look, there are all sorts of  
14 databases that have been taken for other purposes and  
15 compliance and so forth that might be mined for some  
16 information to start the process.

17           For each hazard, once you get those data then  
18 it becomes the exercise we've seen in a couple of previous  
19 presentations, is we're really working in a lot of  
20 qualitative regions and not very quantitative as the  
21 process begins. So one way to do that is to try to give  
22 these scales -- the probability of occurrence, for example,  
23 might range from very low to very high, and the endpoint  
24 could run down from death to worry. There would be a  
25 system of ranking that hazard based on this.

1           Of course, the modeler sees a bunch of numbers,  
2 so this can fit into the aggregate quantitative model,  
3 although we may not know much about the individual  
4 qualitative model. It's not that big of a problem to try  
5 to put it on quantitative scales when you're looking at the  
6 aggregate. So compliance could have endpoints such as OAI,  
7 VAI or others. These were just literally off the top of my  
8 head. Prior history of actions might convey the level of  
9 chance that it occurs, whether it was never violated or had  
10 few violations or all the way to many.

11           Once you've done this under each of the  
12 categories that might be suggested, each one of these  
13 produces a scoring and a ranking in their own right, and  
14 then they can be compiled into something that re-sorts the  
15 list, the type of GMP problem under the categories that  
16 were considered to be important by the risk managers.

17           Then fitting that into the bigger picture of  
18 what do you do with that kind of information, this is what  
19 risk analysts see as the really important part of the  
20 global process, and that's that it's a cycle. You start a  
21 process and you end up doing your assessments, making your  
22 model. You might use that for work planning for other  
23 processes. Here it's shown as work planning and going to  
24 inspections. But you always want to take the data and go  
25 back. Recall that I said risk managers are charged with

1 seeing what were the effects of their policies and  
2 decisions on the future options and risks, and that is the  
3 risk analytic cycle.

4           Breaking it down, the risk assessors play in  
5 this area most of the time, and the risk managers over  
6 here. That doesn't mean that they're two different people.

7       Sometimes in a small center such as CVM, one day I go to  
8 work and I wear the risk assessment hat. The other day I  
9 go with the risk manager hat. It's important to keep the  
10 concepts straight, the questions you're asking under each  
11 area. Keeping those straight helps keep the process  
12 rolling forward. It doesn't mean you have to actually have  
13 a second person in the process.

14           Is this subject to a pilot scale or something  
15 that people can look at and decide that that is a valuable  
16 way to go or not? A number of us met and believe that it  
17 could be scaled from a variety of processes, including  
18 asking individual risk managers and experts and senior  
19 managers in industry to actually score in a user-friendly  
20 interface and collect the scores and database and analyze  
21 it and come up with a ranking table. This is actually  
22 something that can be done from a very small scale of  
23 experts to a very large scale because it amounts to being a  
24 survey-type process. Certainly the fields of expert  
25 elicitation and the focus group-type technologies are well

1 known to everybody, I think, and those techniques could be  
2 brought into this process to generate lists the first time  
3 around, when there's really not much else to go on other  
4 than a lot of opinions out there.

5           Well, the opinions are linked to the experts,  
6 so hopefully there's a good correlation between expert  
7 knowledge and what makes sense in this type of modeling in  
8 the end. That's what you try to tease out in the pilot  
9 study. Ultimately that risk-ranking table could lead to  
10 risk management decisions.

11           In conclusion of this very quick overview, risk  
12 assessment provides a process for organizing the  
13 information in support of decision making, and this has  
14 been put throughout a lot of the strategic initiatives, et  
15 cetera, as science-based decision making, and there's  
16 really not a lot different between what risk assessment  
17 does for risk management decision making and what we call  
18 science-based decision making. They are pretty much  
19 synonymous in my view.

20           Risk assessment is one of the tools available  
21 for risk management, and risk management is that activity  
22 in which the options for controlling risk are examined in  
23 light of the costs, benefits, and risks tradeoffs, et  
24 cetera.

25           Multi-factor risk ranking and filtering might

1 be a robust process to start such a very broadly based and  
2 complicated initiative.

3 Thank you very much for your time.

4 (Applause.)

5 DR. BOEHLERT: Thank you.

6 Are there questions from committee members?

7 Comments? Okay, thank you very much. Wait a minute.

8 DR. RAJU: In your definition of risk  
9 assessment, that was pretty much about problem solving in  
10 the very early slide. If you look at your National  
11 Research Council definition, that doesn't necessarily have  
12 the context in which it's being applied because that set of  
13 words is the same as the definition for science. You said  
14 that, and they're synonymous. But there's a reason why  
15 it's not called science, if they are synonymous. So is  
16 there another piece of that in terms of the context for  
17 applying science that makes it want to be called risk  
18 assessment?

19 DR. CLAYCAMP: How would I answer that?

20 DR. RAJU: It's good that they're synonymous,  
21 but there's a context to why it's called risk assessment  
22 rather than science.

23 DR. CLAYCAMP: Well, the context is this.

24 Going back to estimating the chances of losing something we  
25 value, and then from there it gets --

1 DR. RAJU: So that wasn't the definition --

2 DR. CLAYCAMP: Yes.

3 DR. RAJU: I think it's a very exciting thing  
4 that they're so synergistic and so synonymous. It comes  
5 out so clearly. While the FDA might talk about a risk-  
6 based approach, and an academic might talk about a science-  
7 based approach, and an investigator in industry might talk  
8 about a modern quality system approach, in the end, the  
9 win-win is to get them all together, which is another point  
10 I think in the making.

11 DR. BOEHLERT: Any other questions or comments?  
12 Ajaz.

13 DR. HUSSAIN: I think this is a wonderful  
14 framework for risk discussion, and that's the reason I  
15 wanted Gregg to come and share this with you. As you start  
16 thinking, we have done this. As Gregg mentioned, we will  
17 do it on a daily basis. But I think having a formal  
18 framework really would help us sort of come on the same  
19 page and define things very carefully and clearly. I think  
20 communication is one part of that.

21 But at the same time, I think what is also  
22 important here is, and the message that I wanted to come  
23 out from his presentation was, you cannot think in a  
24 univariate way. That was the point I was making in my  
25 presentation. Today we are in a univariate way, in every

1 sense of the discussion. We have to think in a systematic  
2 way and a multifactorial way, and we have to know what  
3 connects to what and so forth and make the right decisions.

4 That's where, I think, knowledge-based  
5 decisions are better than simply data-driven decisions. So  
6 the conceptual framework of the systems thinking, risk,  
7 science, PAT, everything sort of gets connected.

8 DR. BOEHLERT: Tom?

9 DR. LAYLOFF: I think also it's real and  
10 perceived risks, because society may have perceptions of  
11 risk which are different from the real risks, and allocate  
12 resources against perceived risks.

13 DR. BOEHLERT: Yes.

14 DR. CHIU: I think this is very exciting, not  
15 only to the GMP. This concept, this model can also be  
16 applied to the CMC reviews. When we do a review, we always  
17 look at, is this important, should we get more data. With  
18 the model, I think it gives us a systematic way to approach  
19 that.

20 DR. CLAYCAMP: Exactly. It fits with that as  
21 well. There's explorations on the pre-market side as well  
22 as post-market.

23 DR. TEMPLETON-SOMERS: Excuse me. Can you  
24 please identify yourself and your affiliation for the  
25 record.

1 DR. CHIU: Yuan-Yuan Chiu, OPS.

2 DR. BOEHLERT: Any other questions or comments?

3 I was listening this morning, wishing I knew  
4 some of these techniques. In my former career as a quality  
5 control director, I got involved in a lot of risk  
6 assessments in deciding whether to release product to the  
7 field. It's a very good beginning and I think it's going  
8 to change vocabulary on the part of lots of folks.

9 Garnet?

10 DR. PECK: In hazard identification, is this  
11 where we start to set possible limits to what we're going  
12 to look at through the identification step?

13 DR. CLAYCAMP: If I understand correctly where  
14 you're going with that, practically speaking that's what  
15 happens. To speak in more general terms about a senior  
16 leadership team in an organization, they get a lot of  
17 hazards brought to their attention, and right away they  
18 need to make some call. You can't order a large risk  
19 assessment team for each of the hazards on the table, so  
20 how do you prioritize them kind of off the cuff? That in  
21 essence is actually giving you a mini-risk assessment. It  
22 may be in the mind of the expert at the table at that time,  
23 but essentially there is a ranking on what could go wrong  
24 without any real knowledge of specifically what the risk is  
25 that it will go wrong. It's sophisticated guesswork in a

1 sense, but it's the reality of not having infinite  
2 resources to deal with every hazard that comes before us.  
3 In the ideal world you would get the same level of  
4 information for each hazard before you ranked them.

5 DR. PECK: Thank you.

6 DR. LAYLOFF: Yes, I was going to say it's  
7 limited resources and limited quality of the database. The  
8 formalism I think is useful to help guide your decision,  
9 but to move it to an absolute term is going to be  
10 impossible because the quality of the data and the  
11 resources required and the timeliness of making a decision.

12 But it's a very good formalism, I think, to help bring it  
13 together so you can make a more rational decision.

14 DR. GOLD: Tom, let me add in that, that's  
15 where the professional expertise comes in. We cannot  
16 quantify these issues. That's why the quality of the  
17 background of the individuals and the amount of experience  
18 all comes to bear in making these decisions.

19 DR. LAYLOFF: And that's the risk of making the  
20 right decision or the wrong decision.

21 DR. GOLD: Correct.

22 DR. CLAYCAMP: Could I add to that last  
23 comment? That's really my view of the risk analyst or risk  
24 assessor in this, more in a facilitative and guidance role  
25 in that idea. You cannot do the risk assessment without

1 the domain expertise. All of the right questions have to  
2 be brought out of those experts.

3 DR. BOEHLERT: Ajaz?

4 DR. HUSSAIN: I think there are two thoughts  
5 in here and I want to build up on Yuan-Yuan and what I  
6 presented this morning. I think the important point here  
7 is linking risk to a safety and efficacy domain is the only  
8 way to move forward here, and that cannot happen if it does  
9 not happen starting with the review process. That's where  
10 it has to happen first because clearly, I think, as the  
11 review process evolves from an IND stage and so forth,  
12 leading into the clinical trials, that's where the database  
13 essentially becomes the link between safety, efficacy, and  
14 quality. So I think Yuan-Yuan's point is well taken, but I  
15 think it has to happen at that point because if it does  
16 not, we'll never really get the link between safety and  
17 efficacy and quality parameters, to the degree we could, to  
18 the level we could from that starting point.

19 We do that today. It's not that we're not  
20 doing that today, but I think we'll have to think about it  
21 from a multifactorial way and a systems thinking, rather  
22 than point by point because our specifications are a means  
23 for reducing hazard. I think that's how it starts.

24 DR. BOEHLERT: Any other comments?

25 DR. D'SA: I have a question about experts,

1 your expert systems. Experts can be wrong. So how can  
2 risk assessment change or risk tolerance change as a result  
3 of having bad information to begin with?

4 DR. CLAYCLAMP: Yes, it can change from group  
5 to group of experts. I'll be a little bit speculative  
6 because I'm getting out of my field and into the social  
7 constructionism areas. There's a risk that the closer the  
8 experts are together and meeting in the same committee and  
9 so forth, they start to come up with the same answer.  
10 That's what goes on within the halls of annual meetings in  
11 science all the time and in study sections and so forth.

12 So there has to be a lot of care in how to  
13 elicit the knowledge from the experts. There is a whole  
14 field unto itself that is based on that. I'm surely no  
15 expert in that. I've participated in a couple of studies  
16 and in one in which we could only identify five experts  
17 nationwide. How quantitative a sample is that? And these  
18 guys all knew each other.

19 It's full of those potential pitfalls, but  
20 there are methods for teasing out the uncertainty in an  
21 expert's opinion and for combining in a meta-type analysis  
22 the expert opinions.

23 DR. D'SA: I have a second question. This is  
24 about your detection ability for hazards. This is  
25 something that is connected to PAT, and I think that one of

1 the reasons why aseptic processing is under such tight  
2 control is because of poor detection ability of a hazard.

3           Then the next aspect is, just because you can  
4 detect something but cannot control it, does that hazard  
5 decrease? I think that we have to have some mechanism of  
6 addressing that. You may be able to see everything, but if  
7 it doesn't improve your state of control -- I think that  
8 knowledge has to reach to a point where you arrive at a  
9 position of state of control as a result of that knowledge.

10           DR. HUSSAIN: Well, I think detection simply  
11 provides the information to make a decision. Abi is right  
12 in the sense that if you're not controlling it, at least  
13 you have the ability to make a decision.

14           DR. CLAYCAMP: That's correct. The improvement  
15 there is a reduction of uncertainty by the additional  
16 knowledge, and your decision may be that I don't have  
17 enough information and control.

18           DR. DeLUCA: I'd like to follow up on what Dr.  
19 Raju said here with regards to that definition, science  
20 and the risk. When you made, I said, gee whiz, now I maybe  
21 understand it more. Because I never thought about risk. I  
22 thought about science.

23           And actually in your slide that preceded the  
24 one that your refer to, you have contemporary risk analysis  
25 and that includes four major activities. One was hazard

1 identification. And I wrote down problem identification,  
2 thinking this is a process I'd use in talking about  
3 dissertation research or Ph.D. research. You'd start with  
4 the problem identification. I thought this would be good  
5 to bring this out in this kind of thinking into the  
6 development of that.

7                   But as I thought about it more, I'd just ask  
8 you a question. Are you satisfied with that definition,  
9 that it is science? Because to me as I think about it,  
10 unless the risk is the problem then this definition won't  
11 hold because science to me has got to involve also  
12 correcting. If you're identifying a problem, correcting  
13 the problem. I don't see that in this correction of the  
14 hazard.

15                   DR. HUSSAIN: If I could jump in there. I  
16 think the distinction I have in mind is scientific pursuit  
17 of knowledge and problem-solving essentially comes to a  
18 test of hypothesis and a conclusion related to that  
19 hypothesis. In risk management, I think, the way I  
20 distinguish it from that is, even in the absence of certain  
21 knowledge, we have to make decisions and you make decisions  
22 on a daily basis. So making decisions in absence of  
23 knowledge is sort of a way of distinguishing between the  
24 two. You have to make decisions. Let me put it that way.

25                   DR. RAJU: Let me see if I can add to that. I

1 think the definition is fine if the rest of it is put along  
2 with it. I think in that definition what Gregg did was  
3 probably cut and paste a portion of a bigger definition.  
4 It makes the point because he made the point about the  
5 hazard on the previous slide. So I'm fine with the  
6 definition. I'm actually ecstatic about the definition  
7 because you have to be careful about talking about risk as  
8 just a hazard because there are many levels of risk, and  
9 only a few levels of risk are appropriate for this context  
10 on the cGMP initiative and the FDA.

11           The risk of not fully understanding is the  
12 greater risk around that pyramid, and there are business  
13 risks, business risks of having lower yields than you can.  
14 There's the risk of not having enough resources where you  
15 could have put it somewhere else. You climb that whole  
16 pyramid and expand risk to the internal customer, not the  
17 FDA, each person who wants to do the right thing inside  
18 your process will all together come to the same as science,  
19 which will be the holistic version of risk is the holistic  
20 part of science, which is when the definitions merge, which  
21 is very similar to what Ajaz said.

22           It may not be relevant in this context, in the  
23 cGMP committee, but if you think about it, it may be  
24 extremely relevant in this context to define why we're  
25 doing all this. So I think the fact that it connects with

1 science and the fact that he's connected those two slides  
2 with the other parts of the definition could be exactly  
3 what you've been thinking about in terms of  
4 problem/opportunity. Understand the causes. We could do  
5 fault trees, all for themselves for every investigation,  
6 for every deviation independent of the connection to the  
7 second level of the pyramid, just for the sake of doing it  
8 because we want to understand.

9 DR. DeLUCA: I guess I was trying to bring in  
10 what was missing here. What I would also include in the  
11 science is the application of that for a purpose.

12 DR. RAJU: Sure. For the business purpose, as  
13 well as academic purpose.

14 DR. BOEHLERT: Any other questions or comments?  
15 If not, thanks, Gregg, for an excellent presentation.

16 We're now at the open hearing part of this  
17 morning's program and we have one speaker who's asked to be  
18 heard, and that's Frederick Razzaghi, from CHPA.

19 MR. RAZZAGHI: Good morning. My comments are  
20 not meant to be educational. These are prepared remarks on  
21 behalf of CHPA, which is consumer health care products  
22 association, and this is our entre into this current  
23 discussion. I'm just going to read you my remarks and then  
24 close with a few comments.

25 It is widely recognized that the pharmaceutical

1 industry serves as a benchmark for innovation and delivery  
2 of quality health care products for consumers and patients.

3 CHPA is proud to represent this industry by working to  
4 provide consumers with convenient access to safe and  
5 effective nonprescription medicines and other self-care  
6 products. CHPA acknowledges that PAT is a proven and  
7 efficient tool which may be utilized for continuous  
8 improvement and continuous quality verification.

9 CHPA supports the FDA position that utilization  
10 and implementation of process analytical technology can be  
11 and should be applied in drug development and manufacturing  
12 on a voluntary basis.

13 CHPA recognizes the potential for utilization  
14 of PAT in various applications including improvements in  
15 drug development, process control, process knowledge,  
16 occupational safety and other issues. PAT has been proven  
17 to be especially useful in high volume, dedicated  
18 manufacturing or continuous processing operations where on-  
19 line monitoring and automated adjustments can be made  
20 during manufacturing or filling operations.

21 PAT, however, is not a cure-all for all  
22 manufacturing issues. It is not the correct tool for all  
23 processes and does not lend itself to implementation across  
24 the board in all manufacturing or packaging related  
25 applications. As such, the implementation of PAT should

1 remain as a voluntary option and left up to the individual  
2 company to determine the benefits it can derive from its  
3 utilization.

4           Successful implementation of PAT will strongly  
5 depend on the integration of pharmaceutical manufacturing  
6 practices and guidance documents or regulations. It is  
7 anticipated that modifications to applicable regulations  
8 can be accomplished through review of the cGMP for the 21st  
9 century as part of the risk-based approach. As a regulated  
10 industry, we encourage FDA to continue to work with us in  
11 order to identify and qualify various levels of risk and  
12 define a robust process that can eliminate uncertainty in  
13 implementation of various changes. CHPA views the current  
14 climate as an opportunity to improve not only processes  
15 internal to both FDA and industry but also to devise new  
16 ways to clear the accumulative effects of rules currently  
17 impeding operations on the industry side and the FDA.

18           As an initial step, CHPA looks forward in  
19 assisting FDA in developing good science-based guidance  
20 documents, within the established regulatory framework, in  
21 order to clearly define expectations of utilization and  
22 implementation of PAT. As a longer-term objective, CHPA is  
23 eager to work with FDA in the establishment of new or  
24 revised regulations as may be useful or required.

25           I would just now conclude with three brief

1 comments. We heard yesterday G.K. talk about his issues,  
2 and off-line we talked about developing a business case,  
3 and Ajaz this morning talked about when he first started  
4 with the PAT approach, he thought that it was useful to go  
5 and get upper management or executive management buy-in.

6 We recommend that, from G.K.'s point of view,  
7 the business case be made because manufacturing is seen as  
8 a critical part of the company's operation, and the  
9 business case has to be made to executives so there's buy-  
10 in at that level.

11 I also refer to G.K.'s comments yesterday  
12 regarding the dynamics inside the manufacturing operation  
13 of a company. You have a director or vice president who's  
14 running the operation. At the time there are dynamics in  
15 place that include both manual and automated operations,  
16 and there are complexities there that have to be explored  
17 and identified.

18 That concludes my comments.

19 DR. BOEHLERT: Any questions from committee  
20 members?

21 (No response.)

22 DR. BOEHLERT: Thank you.

23 We are running well ahead of schedule. Is  
24 there anybody else in the audience that wishes to be heard?

25 We can give you a couple of moments if you have some

1 burning issue to present.

2 (No response.)

3 DR. BOEHLERT: If not, we will break for lunch.

4 We will reconvene at 12:30, so we'll see you then.

5 (Whereupon, at 11:21 a.m., the subcommittee was  
6 recessed, to reconvene at 12:30 p.m., this same day.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

## 1 AFTERNOON SESSION

2 (12:30 p.m.)

3 DR. BOEHLERT: Well, I think we can get started  
4 because Tom Layloff is here, so it must be the right time.

5 As Ajaz noted this morning, we're going to  
6 change the order of this afternoon's session and Ajaz will  
7 be going first to talk about our future.

8 DR. HUSSAIN: What I would like to do now is to  
9 engage the committee in helping us develop the agenda and  
10 the format and the background information packet for the  
11 next subcommittee meeting. We have a tentative date for  
12 that. I think we'll confirm that through e-mail to all of  
13 you as soon as possible. But to make that as efficient and  
14 effective as possible, I think what we tried to today was  
15 share with you different perspectives, especially introduce  
16 the risk management, to help define our next meeting  
17 agenda.

18 What I'm proposing is -- and this is a proposal  
19 to you and we'll modify this based on the discussion of the  
20 subcommittee -- meeting number two is to move towards more  
21 effective and efficient approaches for maintaining product  
22 quality and encouraging continuous improvement in  
23 manufacturing and quality assurance. That would be sort of  
24 a broad, general theme of continuous improvement, change,  
25 and so forth.

1                   The reason I wanted to use this as a backdrop  
2 is I think we will have to start focusing our discussion to  
3 more specific topics and issues as we move on. I think  
4 this was a broad, general discussion to make sure we are  
5 all on the same page, at least start speaking the same  
6 language, but now I think we need to start drilling down to  
7 more specific issues.

8                   I think we need to have a common understanding  
9 on quality, risk to quality, continuous improvement, and  
10 the role of formulation and process understanding can make  
11 the change control more efficient. So that becomes a  
12 framework of connecting, risk, quality, continuous  
13 improvement, change, science all together.

14                   So the proposal is to build a second meeting on  
15 the past experience. That's the reason we presented the  
16 change model, build on the SUPAC experience where the  
17 issues we grappled with were maintaining quality while  
18 allowing changes, continuous improvement, risk to quality.

19                   All aspects were part of that discussion.

20                   The draft comparability protocol was exposed to  
21 you today and I think you will have a chance to look at  
22 this document more carefully within the context of the  
23 broader discussion so as to help us fine tune this document  
24 as we finalize this document. The comment period is ending  
25 soon and we would have received comments from industry, and

1 the second meeting becomes a basis for discussing those  
2 comments, fine tuning this draft guidance and so forth.

3 Dennis provided some avenues of the "make your  
4 own SUPAC" concept that can be part of this comparability  
5 protocol. One way of looking at the comparability protocol  
6 is a mechanism to make your own SUPAC possible.

7 But I think the challenge will be -- and this  
8 is where I think significant discussion needs to occur --  
9 to alleviate certain concerns and fear industry has  
10 expressed with respect to sharing information, but unless  
11 they share information, how can we improve our efficiency  
12 and be more science-based. I think that's the dilemma  
13 we'll have to grapple and come to some understanding on.

14 Development knowledge. I purposely chose  
15 development knowledge and reports from that perspective  
16 because now in a post-approval scenario, the fear or the  
17 perception that industry has that this may delay an  
18 approval is not there. So you actually can start thinking  
19 more rationally in terms of what information can be brought  
20 to bear on managing changes without having to have a prior  
21 approval supplement and the traditional way of doing it.  
22 So how development knowledge can or should be used to  
23 optimize regulatory scrutiny, starting with the post-  
24 approval change scenario.

25 Type, format, and evaluation of development

1 knowledge. How do we ensure a win-win? How do you  
2 evaluate development knowledge in terms of a particular  
3 change is quite specific enough, and we can address that  
4 more in a focused way. Development knowledge in an NDA I  
5 think is much broader and it's much more complex. So I  
6 think this allows us to start the dialogue without the fear  
7 of all the concerns that have been expressed.

8           Current and future technology transfer. I  
9 purposely chose the word "technology transfer" because that  
10 is a well-established terminology. I put that in  
11 quotations. The reason for that is I think if you really  
12 look at the review process, the inspection process on the  
13 FDA side, and the development and manufacturing process on  
14 the industry side, there's a technology transfer model  
15 there. You're translating the science know-how to the  
16 other side to make sure the work is done on a routine  
17 basis. So technology transfer is a term that really fits  
18 well.

19           Technology transfer is also a term -- and  
20 there's also document floating out there from ISPE on  
21 technology transfer. So there is a possibility of  
22 connecting all those things together.

23           Also, in the change scenario, risk-based  
24 approaches, failure mode/effect analysis, HACCP, other  
25 models. What can we learn and adopt for pharmaceuticals?

1 Again, starting in the change scenario, how can we do this?

2           Role of interim specifications. There is a  
3 current definition of interim specification in the ICH.  
4 That has a certain meaning, but within the context of this  
5 discussion, I think we will have to go back and evaluate  
6 what that term really should mean or would mean in the  
7 change scenario. For example, I think in a PAT  
8 perspective, you may start out with traditional controls,  
9 traditional testing as a means for controlling your  
10 processes. One could consider that as interim. As you get  
11 more process knowledge, more understanding and you go on-  
12 line, essentially you're replacing that. So one could  
13 think about that as a continuum from one type of controls  
14 to a different type of controls.

15           One interpretation of the ICH definition of  
16 interim specification may be too narrow, saying that  
17 controls are not part of that. So there is some discussion  
18 and debate there. So what is the current definition? Need  
19 for a broader definition of what are we talking about would  
20 be a topic.

21           Process understanding as a basis for optimal  
22 specifications, including in-process controls. Again, when  
23 you read FDA documents, especially the drug product  
24 guidance document that we have released in a draft form, it  
25 is based on ICH Q6A. There is multiple interpretation

1 possible. What is a control? What is a specification?  
2 The lines between the two are not clear, and I think there  
3 is a gray area and I think we need some clarity in terms of  
4 what are we talking about there.

5           One important aspect is connecting annual  
6 product reviews and annual reports. I think this is a  
7 missing element right now and sort of reflects the divide  
8 between review-inspection and development R&D. Annual  
9 product reviews are held at the company and they deal with  
10 failures, complaints, and so forth. So that's one part of  
11 the information about how well the process is doing.  
12 Annual product reports are submitted to the agency. They  
13 contain a lot of the clinical information and this and  
14 that. There is a disconnect, but there is possibly an  
15 opportunity here to make the connection from a systems  
16 thinking perspective.

17           So I just want to repeat a couple of my slides.  
18 I think the advantage of building on past experience is  
19 helpful because I think our discussion would then be  
20 focused and would have the proper context, and that's  
21 important because I think we are talking about risk  
22 management, quality system, process understanding and so  
23 forth. Clearly we have been doing all that, and keeping  
24 that context within the post-approval change scenario will  
25 help us I think. And that's my proposal, to keep the focus

1 on our discussion and also to make progress more  
2 effectively.

3           So this is the example I showed you. FDAMA,  
4 the Food, Drug and Cosmetic Act, actually includes a  
5 definition of risk as a potential to have an adverse effect  
6 on identity, strength, quality, purity, or potency of a  
7 product as they may relate to safety and efficacy. We  
8 already have a qualitative model of risk categorization.  
9 Clearly I think there's a desire to move to a more  
10 sophisticated model for risk categorization, and how will  
11 we do that.

12           One of the proposals that I presented -- and  
13 Gregg Claycamp in his presentation elaborated further on  
14 that -- was to take the SUPAC as an example where we only  
15 look at high, medium, low or minor, moderate, and major  
16 changes in terms of that, but then think about how  
17 development reports, knowledge, information can be brought  
18 to say what is the risk likelihood. I think it brings the  
19 second component of risk which we have not utilized in a  
20 formal way within the SUPAC structure. It is there. It's  
21 embedded, but it's not sort of a formal recognition of that  
22 likelihood of an event.

23           How will quality by design and systems approach  
24 and how will in-process understanding bring us that? But  
25 if we're able to do that, a site change, a ZIP code change

1 for modified release, which is a high risk level 3 change  
2 now, if you understand the process and the risk likelihood  
3 is minimal in our assessment, then what is level 3, a prior  
4 approval supplement, could be justified as an annual report  
5 maybe.

6           Similarly, I think the previous slide showed a  
7 way to reduce the risk classification. Now, with risk  
8 mitigation strategies, which are your controls, your  
9 process controls, your process understanding, and your  
10 quality system in general, if there is a likelihood of a  
11 fault, if we increase the probability of detecting that  
12 fault, that should have a bearing on reducing risk because  
13 now you have information to say yes, there is a fault, but  
14 we can detect it better, and once we detect it, there is a  
15 decision to be made. So how do we use the process  
16 knowledge, development reports, or the entire systems  
17 thinking to not only reduce the risk classification, but  
18 also recognize that increasing the probability of detection  
19 as a way for further reducing the risk and what again might  
20 be a high risk could be classified a low risk when you take  
21 that into consideration.

22           So clearly I think this is again a summary.  
23 I'd like to sort of engage the committee in saying as we  
24 move up, let's see how we can recognize a company, where  
25 they are in this knowledge pyramid. What do we need to do

1 to distinguish companies which are on the high end of this  
2 knowledge pyramid versus at the lower end of this knowledge  
3 pyramid and reward companies that have improved  
4 understanding?

5           The challenge will be -- this is a statement  
6 that Gerry Migliaccio made yesterday, in particular with  
7 reference to G.K.'s slide. Where are they in the five  
8 steps that he said, level 1, 2, 3, 4, 5? Depending on the  
9 product, Gerry said, they are on every part of the curve.  
10 That poses a challenge in the sense in systems thinking how  
11 do we recognize that some products are better understood  
12 than others. So what does that mean from a quality systems  
13 perspective? And I think that needs some clarification and  
14 that needs some discussion.

15           So we have to start looking at connecting the  
16 dots here, development-manufacturing and review-inspection.  
17 This is a slide that I've used for many years now. If you  
18 look at the top most bar, discovery, development, review,  
19 and marketing, the majority of the focus in regulatory  
20 discussions is focused on that. I think more recently,  
21 say, the last 5 to 10 years, we have started focusing on  
22 the second bar, which actually supports. Without the  
23 second bar, the first bar will not happen.

24           So preclinical development, clinical phase I,  
25 II, III studies, submission of NDA, review and assessment

1 and approval, phase IV commitment, adverse event reports.  
2 Clearly that's a sequence of events that occurs, but to  
3 make that possible, you really have to develop a product.  
4 You have pre-formulation. That's part of the development.  
5 You have formulation development for clinical testing and  
6 its optimization. So that's again part of the development  
7 report. But more and more, because of the development  
8 crunch, we see either optimization is not feasible in the  
9 time available during development. So optimization is  
10 either post-approval or may not happen.

11           And then you have scale-up for market,  
12 manufacturing changes. And manufacturing changes are  
13 driven by many different reasons. One, to have process  
14 improvements, to avoid deviations, to avoid out-of-  
15 specification results and so forth. That's one category.  
16 Others are market-driven. Others are technology-driven,  
17 and there are many, many reasons for that, consolidation,  
18 and so forth. So why manufacturing changes occur, how they  
19 occur I think has some bearing.

20           But the system works because I think the FDA  
21 review process is supposed to be asking the question, was  
22 quality built in in an IND and an NDA? This is not only  
23 with respect to product quality, but in terms of the  
24 quality of the protocols used in clinical testing. So the  
25 concepts of quality applied are universal. So was the

1 protocol designed right? Was the clinical study done  
2 right? So all are quality issues. So we are supposed to  
3 be asking the question, was quality built in, and we do.

4 In the chemistry world, without development  
5 reports, how are we supposed to ask that question  
6 effectively? And that has been a challenge. Many of the  
7 problems we see today I think are based on the lack of that  
8 knowledge.

9 So in the future I think the question really is  
10 the FDA assessment process in an IND or ANDA or an NDA has  
11 to really be was quality by design, and you saw some of the  
12 challenges we face there. Once we address that question --  
13 and that question is an important question to address  
14 because if you have quality problems with your clinical  
15 material, then you're essentially confounding a very  
16 expensive database, safety and efficacy, with quality  
17 problems. There are a few cases where that has occurred,  
18 at least to my knowledge, that really created a problem  
19 where the entire safety and efficacy database was in  
20 question because of some quality concerns. But more often  
21 it does not occur because quality is built in. So how a  
22 company designs the clinical trial material for clinical  
23 testing that becomes the basis for approval I think needs  
24 to be examined as we set specifications.

25 But once we have this information database, on

1 the clinical side the question that we often ask is what is  
2 appropriate labeling and then what risk management  
3 strategies are needed depending on the risk-benefit ratio  
4 that is assessed based on the information submitted.

5 On the quality side, the question really  
6 becomes after an NDA comes in, are the controls appropriate  
7 for the manufacturing process, especially when you have  
8 scale-up, what the specifications are.

9 I would like to point out, is that the right  
10 time to ask that question? All those questions have  
11 already been addressed when we dealt with the clinical  
12 trial and material formulation process and specification.

13 The reason I'm asking that question for you to  
14 ponder on is what Pat DeLuca's discussion was yesterday,  
15 which is as the process capability improves, we keep  
16 tightening the specification. That's the current thought  
17 process.

18 But what is the basis for that? If we continue  
19 to do that, the companies may stay with the current  
20 specification and the product remains on the market.  
21 That's perfectly fine. But now, if a company wants to  
22 improve the manufacturing process, the fear is FDA will  
23 start tightening the specification or acceptance criteria.

24 So why would you do that? Does it serve public health in  
25 any way for doing that? I think that's the question

1 because the basis of decisions has to be scientific data  
2 and information and that data and information has already  
3 been collected. What clinical studies would be needed to  
4 answer that question? We don't have that.

5           So actually how we set specifications is a key  
6 factor of this discussion. We won't discuss that at the  
7 next subcommittee, but I think subsequently we'll have to  
8 address that. Whether at this committee or the main  
9 advisory committee, we'll have to make that decision.

10           But clearly, I think at the time of approval,  
11 the manufacturing knowledge for a particular product can be  
12 limited, and we go through a process validation, and then  
13 we often see problems with respect to the ability to  
14 manufacture that product. And you have post-approval  
15 changes occurring and so forth.

16           So one of the thought processes about an  
17 interim specification is to think about, yes, these  
18 specifications are based on a limited number of clinical  
19 lots, possibly validation lots, and some questionable  
20 aspect with respect to whether the development report was  
21 really useful in that decision making or not, then to say,  
22 all right, at the end of, say, a year after manufacturing  
23 or manufacturing several hundred lots or whatever that  
24 might be, can't we get back and say, all right, this is the  
25 manufacturing history? This is what the specifications

1 are. This is the link to safety and efficacy. What should  
2 the final specifications be? That is one way of looking at  
3 interim specifications, but that look at interim  
4 specifications is slightly different from what is expressed  
5 in our ICH Q6A with respect to what interim specifications  
6 truly are.

7           Therefore, I think final specifications is the  
8 link between annual product review and an annual report  
9 where you actually have several lots or hundreds of lots of  
10 manufacturing experience, and then you can base your final  
11 specifications on that. That could be a technology  
12 transfer model from review to inspection on the FDA side so  
13 that subsequent to that, when you bring in the right  
14 development report, the "make your own SUPAC" concept is  
15 together with that, then we actually eliminate most post-  
16 approval supplements. So we transfer the know-how from  
17 review to inspection and everything else is managed on the  
18 inspection side after that. The product specialist can  
19 help translate that information and so forth. So that's  
20 the model that we have to think about.

21           I'll stop here with that as a backdrop. PAT  
22 essentially is process understanding. That is the term we  
23 are using, but how do we integrate and get to this is the  
24 key issue here.

25           So what I would like to propose -- you don't



1 answer. My hope is it is. The reason I hope it is is  
2 because that's part of the validation. That's what should  
3 have been done. The second aspect is that it is considered  
4 a low or a minor change to start with, and our change  
5 guidance has defined it as a minor change based on the past  
6 experience, based on consensus at meetings such as these.  
7 So the knowledge base classified as a minor is the basis of  
8 that.

9 DR. LAYLOFF: My concern is that maybe some of  
10 the hesitance about development knowledge is that it's not  
11 as complete as one might expect.

12 DR. HUSSAIN: That has been expressed at the  
13 PQRI meeting. The fear was FDA might see that quality may  
14 not always be what it could be.

15 DR. GOLD: Ajaz, don't we get back to some of  
16 the issues that Pat, Gary, Garnet were asking yesterday  
17 about what is the motivation, the driving force to tighten  
18 specifications in many instances? Don't we need to roll  
19 that into our discussion as well?

20 DR. HUSSAIN: I think we will need to discuss  
21 that, but I'm not sure the next meeting we want to devote  
22 to that. That's the reason I selected the post-approval  
23 world with the specifications already set sort of a thing  
24 to work our way because that is a very complex issue, and I  
25 think we really need a broader audience to discuss that.

1           The motivation essentially is I think that is  
2 the current paradigm. That's the current mind set, saying  
3 that if you can make it with that specification, make it.  
4 That's one way of thinking about it.

5           The other way of thinking about it is something  
6 Colin Gardner reminded me of yesterday, and I think Toby  
7 Maza in his presentation has mentioned on and on again that  
8 industry today actually designs specifications so that they  
9 can actually fail 5-10 percent of the lots. That is by  
10 design. If they do not do that, people will come back and  
11 say you have too loose specifications. That's what I have  
12 heard and that's what people have said. So if identifying  
13 failures is a test that our controls are working, if that's  
14 the mind set, then if you reduce variability, you will not  
15 see any failures, and therefore we have a tightened  
16 specification. That probably is a paradigm out there, at  
17 least in some people's minds, but that I don't think is the  
18 correct way of thinking about it.

19           DR. GOLD: If Colin Gardner said that, I  
20 certainly did not hear it. My experience may not be as  
21 broad as Colin's but we have other people here in the  
22 business. I don't know of companies deliberately trying to  
23 fail 5 percent of the batches during the development phase  
24 in order to get broad specs.

25           DR. HUSSAIN: No, no, not in the development

1 phase at all. I still remember that discussion. The  
2 simple matter is when we set a dissolution specification,  
3 now if you have several lots and if we wanted to fail some  
4 lots, all the lots tested in clinical are acceptable and  
5 you have a range of dissolution profiles for that. If you  
6 choose a dissolution profile which fails certain lots as a  
7 means for establishing specification, that's the general  
8 trend. We set specifications based on capability of that  
9 process at that time, and that may not be the right way of  
10 setting specifications is what I'm saying.

11 DR. GOLD: Well, you do set specs based on the  
12 capability of the process, but I don't know of deliberately  
13 setting specs or looking at the 5 percent --

14 DR. HUSSAIN: I would like to challenge that  
15 paradigm. I think you should design a process of the right  
16 capability to meet your design specifications, not the  
17 other way around, because specifications are to be linked  
18 to safety and efficacy and are part of the design aspect.  
19 So you think through what the design is and then choose a  
20 process that is capable of delivering that specification.

21 DR. GOLD: I would certainly agree with that,  
22 but I thought that's what we have been doing all along.  
23 Any of the other members have any comments on this point?

24 DR. BOEHLERT: I think Efraim was ahead of you  
25 G.K.

1 DR. SHEK: I don't know about any systematic  
2 approach where -- I would assume we in industry, at least  
3 from my personal experience -- we go and design a 5 percent  
4 failure or whatever it is. I think at the end once specs  
5 have been agreed upon mutually between the regulatory  
6 agencies, whether here or in Europe, and the industry, you  
7 might end up there because you present, I would assume,  
8 your experience and data, and that's where the negotiation  
9 is going. So I don't believe it's purely by the sponsor  
10 designing, but the end result might be, Ajaz, what you are  
11 talking about.

12 DR. GOLD: Perhaps the end result is because  
13 you always negotiate some room based on the fact that you  
14 have limited experience to that point and you're going to  
15 expect some variation as you scale up and you move ahead.  
16 But I'm not aware of a deliberate failure. Okay, perhaps  
17 the same result occurs, but maybe we're using different  
18 terminology.

19 DR. HUSSAIN: Could be.

20 DR. BOEHLERT: G.K.

21 DR. RAJU: Let's go back to yesterday's  
22 discussion and try to connect it to today's. I personally  
23 believe that as far as possible -- I know it's difficult in  
24 this industry, but it's difficult in many other industries  
25 -- that specifications should only be about the voice of

1 the customer. That is it. Process capability is your  
2 capability of your process to meet the voice of your  
3 customer.

4           So if you look at the definition of process  
5 capability, on the top it will be upper specification  
6 limit, minus lower specification limit, divided by 6 sigma.

7 The top specification should come from the customer  
8 ideally, and really those are the only specifications that  
9 make sense. And the bottom is the sigma that comes from  
10 your process.

11           You should never ever set your specifications  
12 based on your process capability because your process  
13 capability was supposed to measure whether your process  
14 capability meets specifications. So you should also start  
15 with the voice of the customer.

16           But there are different voices and there are  
17 different customers. The customer for safety and efficacy  
18 has a very broad voice, which is at the bottom level of the  
19 pyramid. The customer for process understanding and cGMPs  
20 has a tougher voice. I want to look at your capability to  
21 meet specifications rather than did you meet specifications  
22 and is it safe and efficacious. That is now better  
23 connected to the upper control limit and the lower control  
24 limit. That is not a specification. That's a control  
25 limit, and the control limit always comes from the process.

1 It has nothing to do with the specification.

2 So when I look at it, I want to consider us  
3 really separating out interim specifications from  
4 specifications versus control limits and bring in the  
5 vocabulary of control limits which are about the process  
6 capability and do our investigations around that. And  
7 because we have kind of combined the two, we get this  
8 dysfunctional system, and in my experience I've seen almost  
9 every single company that I've worked with complain of the  
10 situation where when we don't clearly translate safety and  
11 efficacy into something that's connected to our process,  
12 which is the mechanistic understanding that's missing, then  
13 what do we do? We take all of our data and then we set  
14 specifications to be plus or minus 3 sigma. We set our  
15 specifications to be 3 sigma rather than 6 sigma, for  
16 example, and when you do, you will get a percent or so of  
17 failure. And you use that percent or so of failure to  
18 prove to the investigator and to yourself that you can  
19 investigate when you're outside your upper and lower  
20 control limits.

21 But fundamentally I actually would question the  
22 whole idea of interim specification in an ideal sense. It  
23 is really about the specifications should only be changed  
24 when you combine it with some market data from phase IV,  
25 knowing more about your customer or your recalls, which are

1 voices of your customer. Specifications from a legal and  
2 safety point of view should not be driven by the process in  
3 the ideal state.

4           The reality of it, similar to what Judy said,  
5 in the case of impurities, for example, when you may have  
6 done your clinical trials at 5 percent, but you could have  
7 done it at 1 percent. You want to keep it minimum. Now,  
8 you don't have a database to set up a specification.  
9 You've had even lower impurities, but when you go down into  
10 manufacturing, you'll have a much broader variation. So  
11 you have a somewhat difficult situation to deal with. So I  
12 can understand dealing with that difficult situation.

13           But I want to start with the ideal state of  
14 saying it's about specifications that are only about the  
15 customer. Let's define the customer and let's bring on a  
16 vocabulary rather about specifications instead about  
17 control limits.

18           I've heard a lot of companies complain that  
19 they get into this catch 22 because that's the situation.  
20 I think when people investigate, they want to know if  
21 you're investigating you're out of control, in many ways  
22 out of trend, and that's the mechanism where this 3 sigma  
23 situation actually makes sure that the system does work.  
24 But it's not about safety and efficacy. It's about do you  
25 have a mechanism in place to try to understand what you

1 don't understand, which is about the control limits.

2                   So there are two different things, and I think  
3 there's the ideal state and then there's the practical  
4 state. I want to move the discussion to try the ideal  
5 state a little longer before we jump to the practical  
6 state.

7                   DR. BOEHLERT: Tom.

8                   DR. LAYLOFF: I'd like to support that  
9 discussion. The specifications for the product should be  
10 what is pragmatically required to meet the safety and  
11 efficacy. The control limits are set whether it's  
12 technologically feasible for your process, but I have seen  
13 many times where reviewers and FDAers always to move the  
14 specifications to the control limits, to what is  
15 technologically feasible, rather than what is pragmatically  
16 necessary to achieve the objective of getting a safe and  
17 effective product out there. There is that tendency,  
18 though, to go to what is technologically feasible rather  
19 than what is pragmatically necessary. I think if we could  
20 address that, that would help out a lot. And separating  
21 out control limits and specifications is a very good  
22 approach.

23                   DR. BOEHLERT: Gary.

24                   DR. HOLLENBECK: Judy, I'd like to go back to  
25 the 10,000-foot level for just a minute. I thought

1 yesterday perhaps the biggest risk was I was never going to  
2 understand risk assessment.

3 (Laughter.)

4 DR. HOLLENBECK: And then Dr. Claycamp came in  
5 and gave what I thought was a beautiful presentation today.

6 It at least gave me the impression that there is an  
7 approach that we can take. I got to his slide on pilot  
8 scale which I think is another term for demonstration  
9 project, or at least, that's what I hoped it was. Is that  
10 what you think, Ajaz?

11 DR. HUSSAIN: Yes.

12 DR. HOLLENBECK: And I would strongly encourage  
13 that we try to start at that level with that system and do  
14 a demonstration project. Perhaps then the choice is what  
15 is our assumption around which we should do this  
16 demonstration project. And it could very well be chemistry  
17 manufacturing controls instead of compliance, as was the  
18 example. Perhaps that's what you're saying, Ajaz, is that  
19 we back up to the 10,000-foot level instead of talking  
20 about the end product, specifications, and we consult with  
21 folks who can help us establish the x and y axis in that  
22 model and that we proceed in that direction.

23 DR. DeLUCA: Yes, I think I like your slide  
24 there. It says a future topic is to look at encouraging  
25 continuous improvement of the manufacturing process. I'm

1 just wondering if there are any examples of this where we  
2 can invite people from the industry who are embracing this  
3 concept and are actually working in that direction. If we  
4 could get some examples of that.

5 I liked the idea when you were talking about  
6 specs. You mentioned interim specs and what would be the  
7 value of having interim specs because sometimes we're not  
8 ready maybe to propose specs for the finished product at  
9 this stage.

10 DR. HUSSAIN: Right. Pat, I think that's an  
11 important point and that's the reason I brought the  
12 discussion up for that purpose. I think when you approve a  
13 product for safety and efficacy that is safe and effective,  
14 you already have established the product specification that  
15 is linked to safety and efficacy. So personally I don't  
16 see those as part of the interim spec. That has been  
17 established because there's no other mechanism to establish  
18 that unless you have other clinical data and so forth.

19 So the interim spec in my mind controls more of  
20 your other aspects that need to be refined as you go  
21 through scale-up and so forth. But the language that is in  
22 the ICH Q6A and so forth I think blurs that thing up, and I  
23 think we need to clarify that language.

24 DR. DeLUCA: That's what I was talking about  
25 was improving the process, not with regard to the safety or

1 efficacy. We've established that.

2 DR. BOEHLERT: Tom?

3 DR. LAYLOFF: I think we're hitting on it, that  
4 over the course of experience, the control limits will  
5 improve but the specifications should not. A concept of  
6 interim specification belongs in the same box with interim  
7 safety and efficacy. So if you demonstrate safety and  
8 efficacy, you've demonstrated specifications. If you have  
9 an interim specification, then you haven't demonstrated  
10 safety and efficacy.

11 DR. HUSSAIN: So calling that a specification  
12 may not truly -- and we will clarify that through our  
13 discussions so that we say this is what ICH said, this is  
14 what this is, and so forth.

15 DR. RAJU: And there are different kinds of  
16 specifications. There are specifications for safety and  
17 efficacy. But as you go up, you might find that -- and  
18 this is a point that Gary had mentioned -- instead of 95 to  
19 105, that if you have a narrow therapeutic range for this  
20 drug, if you can get it down to 99 to 100, you can get your  
21 patients ecstatic. So you set your own business specs to  
22 make them ecstatic. It makes competitors very difficult  
23 for you to compete with, but that's not a safety and  
24 efficacy spec. That's a safety and delight spec now.

25 (Laughter.)

1 DR. RAJU: But that's a spec. That's a whole  
2 different dimension to it.

3 DR. BOEHLERT: That may be a concept that's  
4 hard to sell. It needs to be meaningful to the patient,  
5 that 99 to 101.

6 MR. FAMULARE: So in addition to the strength,  
7 you would put how close you are to it on the label?

8 DR. RAJU: That's your own business  
9 proposition. When you say specifications, if we are  
10 talking about the legal specifications, then I want the  
11 legal specifications as far as possible to be about the  
12 customer, and the investigations and the burden of do you  
13 investigate what you don't understand to be about the  
14 control limits. And then because it's very difficult to  
15 separate them out, we've combined them, but at least  
16 expanding the vocabulary might give us another chance of  
17 separating them out. We might have to combine them in some  
18 cases.

19 MR. FAMULARE: I think a lot of this, as the  
20 discussion has gone on, is in the terminology. The  
21 specifications, if we leave them with safety and efficacy,  
22 as Tom said, just stay there and we probably shouldn't call  
23 them interim. The next thing is to establish what are the  
24 optimal control limits that you can put towards this  
25 process to not only meet but exceed that specification, and

1 then in terms of the regulatory paradigm, how do we  
2 approach that.

3 DR. SHEK: I would like to maybe follow up the  
4 discussion we had and looking at what's up there and again  
5 looking at the maybe second part of the major bullet there,  
6 which is talking about encouraging continuous improvement  
7 in manufacturing and quality assurance. I think we were  
8 talking here yesterday and today about maybe a change of  
9 paradigm shift where we really build a situation to  
10 encourage improvement. We can start, and I think that's  
11 right to start with the safety and efficacy, which is  
12 number one, which allows you to put a product on the market  
13 which is safe and efficacious. So it has a purpose for it.

14 The other part should go maybe with what this  
15 country was built on. You start now building out and  
16 trying to improve your product on the market and you let  
17 the business world, to some extent, make those decisions.  
18 But you build the system where you encourage the industry  
19 to do that. At least today some of us are complaining that  
20 you don't have the incentive there. It's very complicated  
21 and very complex to try to bring improvement. So let's  
22 build a system where companies will be encouraged to do it,  
23 so you have the basic.

24 What we have to resolve in practical terms is  
25 how do you translate the safety and efficacy specs to a

1 manufacturing environment where you have insurance that  
2 each unit, let's say, that you manufacture is meeting those  
3 requirements. But I will advocate to really work as a  
4 committee and advise the agency how we can build this  
5 environment where companies will go ahead and improve their  
6 products.

7 DR. BOEHLERT: I think somebody mentioned that  
8 it would be helpful to have some concrete examples, some  
9 presentations from folks that are really involved in doing  
10 some of this, and I think it would help the committee to  
11 sort out the issues to see where they think this applies,  
12 separate process controls from final specifications and  
13 understand what all of that means because right now we're  
14 struggling with some of the minutiae, if you will, and  
15 specs or interim specs or in-process control specs and what  
16 they might mean. If you could get some industry people  
17 that have actually done some of this, the role of  
18 development information in filings and how the agency might  
19 use that so we can begin to understand what this all means.

20 I don't think anybody disagrees with the slide that's up  
21 there. It's all in the details.

22 DR. HUSSAIN: Right. I agree. Starting to  
23 build on the detail, the SUPAC experience I think would be  
24 nice to capture that in a nice summary because what were  
25 the concerns. Why doesn't the agency allow continuous

1 improvement? You would drift away from the safety and  
2 efficacy database is the major concern. So a few years  
3 after approval, the product out there and the product  
4 approved -- the safety and efficacy gets disconnected.  
5 That's the major fear of that, and then that impacts on the  
6 generic program and so forth. So that's the other part of  
7 it. How do we manage that process is the key issue here.

8               So what I took from this discussion is I think  
9 what we will do is capture in a brief summary the SUPAC  
10 experience and then actually bring the ICH Q6A, clarify the  
11 terminology with respect to control specifications and so  
12 forth.

13               What I would like to do is actually maybe bring  
14 somebody from the bio side because they have a number of  
15 examples on comparability protocols from a company  
16 perspective how they have used development data and so  
17 forth. So maybe construct a comparability protocol concept  
18 of what can be accomplished from that perspective and  
19 actually have maybe some case studies from that and maybe a  
20 case study from companies which have managed continuous  
21 improvement, maybe in the "don't tell" scenario but they  
22 have done it. Pfizer was one example. I think we will  
23 request Pfizer to come back.

24               DR. BOEHLERT: I think that was very helpful on  
25 the PAT initiative to have those case studies. It was

1 something that we could see and react to, and it's more  
2 difficult when you're talking about concepts.

3 DR. HUSSAIN: One of the major themes of that  
4 will be questions that we will pose to you. We'll sort of  
5 deal with the comparability protocol because that's a very  
6 concrete term. It's already a draft guidance and so forth.  
7 So we will definitely keep this as a major theme for  
8 discussion and seeking advice from this subcommittee, but  
9 then we'll build up case studies and so forth around this.

10 DR. BOEHLERT: Also, I think some presentations  
11 on the kinds of comments that are received on that  
12 comparability protocol because you'll have those by the end  
13 of June. Right?

14 DR. HUSSAIN: Right.

15 Now, I think the risk aspect is also important  
16 and I think we do want to sort of start thinking in a more  
17 sophisticated way about risk models. I think Gregg did a  
18 wonderful job of explaining that.

19 But I think now we need some pharmaceutical  
20 examples. I have seen some actually good publications.  
21 Rick actually sent me some recently. So there are examples  
22 of, say, failure mode/effect analysis, say, from aseptic  
23 manufacturing and some of the examples out there. So there  
24 are some case studies. We'll see whether we can actually  
25 find a speaker to talk about taking the existing models,

1 say, HACCP or failure mode/effect analysis, and see how we  
2 can marry that with the rest of the discussion.

3 DR. BOEHLERT: Gary?

4 DR. HOLLENBECK: Ajaz, it does seem to me that  
5 there are two separate playgrounds here.

6 DR. HUSSAIN: There are.

7 DR. HOLLENBECK: There's the post-approval  
8 change where there is some sort of metric, you know, an  
9 approved product. I know in the SUPAC era, we were more  
10 comfortable considering risk because at least we had that  
11 buttressed by a product that had gone through the approval  
12 process.

13 It seems to me that as you're receiving  
14 submissions based on PAT and things, that's a different  
15 arena, and I'm hoping that some of the case studies or some  
16 of the examples that you'll bring back to us focus on  
17 approvals, as well as just post-approval changes.

18 DR. HUSSAIN: All the submissions we're getting  
19 are in the post-approval also. People are more comfortable  
20 in the post-approval world to do this. I think it will  
21 take some time before we'll see an NDA based on PAT. A  
22 long time. At least that's what Tom says.

23 Gary, I think that's an important point. I  
24 think you have the comfort zone of the safety and efficacy  
25 evaluation to work there. In the absence of that, I think

1 you always have those challenges. So that was my reason  
2 for proposing that we start our discussion also on topics  
3 of development reports and so forth in the post-approval  
4 world because I think that's manageable and I think we can  
5 make progress there.

6 DR. GOLD: Ajaz, I'd like to make another  
7 request. If we bring someone in from one of the companies  
8 that's involved in continuous improvement, I'd like to hear  
9 something about the economic drivers that they see in  
10 following this route of continuous improvement.

11 DR. HUSSAIN: G.K. can give you that. No, I'm  
12 just kidding. No, I understand.

13 DR. RAJU: I just wanted to comment on the last  
14 three slides. The first one was kind of high level. The  
15 one before this, this one I think is very powerful. I  
16 would strongly support almost all the conclusions that you  
17 came up with.

18 First, is try to bring somebody from the  
19 biotech side because they've done this comparability  
20 because they've had to because of the complexity.

21 And second, connect with a couple of people. I  
22 think you have a large number of people who presented and  
23 were part of your PAT presentations. And now as that's  
24 that case study, it can be a beautiful case study to bring  
25 them back here. Judy and I were there before, but it

1 wasn't necessarily shared with everybody.

2           The process understanding bit can nicely  
3 connect with Dave Rudd's presentation on the next slide.

4           One more point to bring up is at the PQRI  
5 meeting there was a lot of discussion on the prior approval  
6 and connecting CMC and review and the no prior approval,  
7 and there's a whole bunch of information that's been put  
8 together. I wonder whether they might --

9           DR. HUSSAIN: The prior approval inspection,  
10 the PAI.

11           DR. RAJU: Yes. I wonder whether some of the  
12 summaries of those meetings might come up here in some way.

13           DR. HUSSAIN: We actually distributed the  
14 summary, so you have a hard copy of the summary slides. We  
15 are still waiting for the summary report to come. At some  
16 point I think we will pick that up. I'm not sure at the  
17 next meeting we're ready for that.

18           DR. RAJU: But the "make your own SUPAC" has  
19 got so much support.

20           DR. SHEK: Ajaz, just a comment, something for  
21 consideration. When you have the picture of connecting the  
22 dots, there is a big chunk there that you are talking  
23 about, pre-formulation. One part which is something to  
24 consider -- I know it's a high level -- but whether to add,  
25 there is the API, the drug substance, which you have now

1 two processes going on. You have the development of the  
2 synthesis and the characteristic of the API. At the same  
3 time, you have the development of the formulation. It's  
4 not too distinct. You do this one first and then that one.  
5 I don't think they should be.

6 But there is a strong influence of what's  
7 happening on the API, and as we look at the system we're  
8 trying to improve, we shouldn't forget this part. Now it's  
9 lumped together and there is something happening before,  
10 you know, pre-formulation and so on, that will affect the  
11 quality of the product at the end. As we go through the  
12 process, I believe we should keep it in mind there that  
13 that's going on.

14 DR. HUSSAIN: Maybe the slide reflects my  
15 pharmacy background.

16 Yes, I totally agree with you. The Bristol-  
17 Myers example actually will be a very good example, the  
18 case study they presented to the PAT Subcommittee,  
19 connecting the API to the drug product manufacturing.  
20 Thank you for bringing that up because I think challenges  
21 for BACPAC II have been that in the crystallization  
22 process, the last final steps where physics start coming  
23 in, is a great challenge. One of the professors I heard  
24 give an excellent talk on this was Allan Myerson from  
25 Illinois Institute of Technology. Maybe we can bring him

1 in, sort of connecting that API to the drug substance  
2 because the challenges of BACPAC II is when there are  
3 changes, particle size and so forth, we have to think about  
4 doing a biostudy. Can you manufacture that drug product  
5 and have it bioavailable? So that's a very complex  
6 scenario, and I think that fits in quite well with the  
7 comparability protocol and change scenario too.

8 DR. BOEHLERT: Garnet?

9 DR. PECK: Ajaz, you mentioned under the role  
10 of interim specifications the concept of connecting annual  
11 product reviews and reports. I had a strange feeling here.  
12 There's something within the Office of Compliance that has  
13 been very important, especially for field investigations,  
14 and it's a thing called complaint files. These are very  
15 interesting files of information. They frequently are  
16 related to a product and the resulting product and how it's  
17 been performing. It serves a number of different  
18 audiences. I'm wondering if out of that, maybe at some  
19 later date, this kind of information could be used to help  
20 the element of risk and whether we could glean something  
21 from this kind of information to aid us in this risk  
22 assessment.

23 DR. HUSSAIN: I'd like Joe to jump in and I'll  
24 have some thoughts too.

25 MR. FAMULARE: I think the reason that Ajaz

1 focused on the annual product review is because that's a  
2 compilation of that data, complaint data, recalls, field  
3 alert reports that go into the review divisions through the  
4 district offices -- and they actually go back there through  
5 the district offices -- and drug quality reporting system  
6 type issues. So that is true. And it's an issue that I  
7 saw was brought up by Dr. Claycamp on one of his slides,  
8 how that information post-approval feeds back into the risk  
9 determination, the cycle approach. So that is a good point  
10 but I'd say annual product review is sort of a catch for  
11 much of that data, even beyond the complaints.

12 DR. HUSSAIN: Judy, if I may just add to that.

13 I think this is an important element. Any  
14 quality system needs feedback loops and connecting that  
15 loop. This is part of that. At least from my perspective,  
16 because of my TIACC, Therapeutic Inequivalence Action  
17 Coordinating Committee, I have my eyes out on it. This is  
18 a major issue because we get complaints. The program right  
19 now is focused on the generic program, but I think you want  
20 to extend that to include all products. That's our look at  
21 it. The Office of Compliance looks at the broader aspect  
22 on everything, but we look at bioinequivalence or  
23 therapeutic inequivalence issues that are reported.

24 But the point I would like to make is I think  
25 we need to improve the data capture methodologies and make

1 it more useful. I think the data cores or the information  
2 cores that we have and the type we capture I think can be  
3 improved to make this more effective. Right now it's a  
4 very difficult task to go back and see really can we get to  
5 a root cause or not. It's very difficult to do that. But  
6 at some point I think we want to improve that process, and  
7 Compliance is actually doing that as a separate division I  
8 think right now.

9 DR. BOEHLERT: Do you think you've gotten  
10 enough information?

11 DR. HUSSAIN: I think so. What we will do is  
12 structure the next meeting focusing on some quite focused  
13 questions, and those questions will be directed toward  
14 comparability protocol, "make your own SUPAC" to get that  
15 guidance finalized. But then we'll structure the  
16 discussion with examples, case studies from companies and  
17 so forth, but also start addressing the quality, risk, and  
18 so forth within that context because I think that's a good  
19 starting point. That's where we have done some work, and  
20 that will lead to a broader discussion on risk in a broader  
21 sense at some other meeting.

22 DR. BOEHLERT: Thank you, Ajaz.

23 Now we're going to go back to the top of the  
24 agenda for this afternoon, and that's an update on the  
25 aseptic manufacturing. Joe, I think you're first.

1                   MR. FAMULARE:    Last October, the  
2   Pharmaceutical Science Advisory Committee held a meeting to  
3   discuss the concept paper, which it had issued beforehand  
4   on aseptic processing, called the sterile drug products  
5   produced by aseptic processing.  And this was to update the  
6   1987 aseptic processing guidance.  We received a lot of  
7   useful input through the committee, as well as in  
8   subsequent interactions with PQRI's Aseptic Processing Work  
9   Group, which was formed subsequent to the advisory  
10  committee meeting.  So today Glenn Wright, who chaired that  
11  subcommittee, Rick Friedman, who was one of the FDA  
12  members, and myself will recount the history and objectives  
13  of the revision, with emphasis on the key role that PQRI  
14  played.

15                   In looking at updating the original Aseptic  
16  Processing Guide, in 1978, of course, the GMP regulations  
17  substantially as we know them today were published, and it  
18  was accompanied by a preamble that talked about addressing  
19  the finished dosage forms of many drugs, with many unique  
20  and critical variables associated with them, particularly  
21  those for sterile drug manufacturing.

22                   It actually said in that preamble that we were  
23  going to do additional regulations for SVPs and LVPs, but  
24  over the passage of time, you have probably come to realize  
25  that FDA only proposed regulations in the LVP area, which

1 were not finalized, and in lieu of those regulation on both  
2 the SVPs and the LVPs, FDA drafted the Aseptic Processing  
3 Guidance, which went out in 1987 in its final form.

4           The original draft of that 1987 guidance  
5 actually started around 1980 in the division of  
6 manufacturing and product quality, and most of the work of  
7 that finalized 1987 guidance reflects that time period in  
8 terms of technology, etc. But, at least in terms of the  
9 guidance route, it was put there in a sense that it  
10 provided latitude, but now that a significant amount of  
11 time has passed, we've seen the need to update that GMP  
12 guidance.

13           In terms of the purpose for updating the  
14 guidance, we wanted to make sure we reflected the knowledge  
15 the industry and FDA had, which had evolved with respect to  
16 aseptic processing, and at least it's intended, in terms of  
17 this new guidance, to communicate FDA's latest thinking to  
18 incorporate the latest well-supported scientific  
19 principles.

20           Some the information, as it exists now in the  
21 original guidance, is obsolete. New manufacturing  
22 technologies that have emerged that are prominent ones and  
23 analytical technologies such as sterility testing equipment  
24 have seen changes. While the original guidance reflected  
25 aseptic processing policy of the early to mid-80s, there

1 were some meaningful gaps in that guidance. By providing  
2 written guidance on certain manufacturing matters, we hope  
3 to improve our communications on that current thinking.

4           There was also a need to update our minimum  
5 expectations in terms of facilitating industry compliance  
6 with the GMPs so that we could be on the same plane with  
7 both industry and FDA. Many industry organizations, PhRMA,  
8 PDA as examples, and other industry representatives had  
9 requested issuance of updated guidance on an expedited  
10 basis to address areas where there was significant  
11 confusion as to what the minimal GMP standards are.

12           We have also heard from industry that proactive  
13 communication of expectations for firms building or  
14 modifying facilities saves money over time, and there's  
15 certainly a number of GMP questions that come up that  
16 certainly need clarification. A lot of that we heard in a  
17 general way over this past day in terms of the 483 and in  
18 other venues that that's communicated.

19           Many of the recurring and significant  
20 manufacturing problems we've seen hopefully can be resolved  
21 or averted through this guidance. Through improved clarity  
22 in the guidance, we would hope to reduce the incidence of  
23 time-consuming regulatory problems and these problems and  
24 how they impact on both FDA's and the industry's resources.

25       So we hope that the updated guidance will enhance our

1 ability to meet public health goals and will make the daily  
2 interactions much better, particularly in terms of a theme  
3 we've heard pretty loudly from industry: predictability  
4 and consistency.

5           In the case of sterile drug products, failure  
6 to adhere to cGMPs can impact safety and efficacy, and  
7 we've recognized the high risk nature of sterile drugs. As  
8 was explained in terms of our overall risk management  
9 approach by David yesterday, one of the initial things,  
10 what we've done in our work planning for GMP inspections,  
11 was to put sterile drug process inspections on top of our  
12 public health risk assessment in terms of giving priority  
13 to those inspections. So they're the top priority of our  
14 inspection program right now. This guidance, we hope,  
15 helps emphasize risk-based GMP approaches in terms of  
16 actually performing aseptic processing operations. One  
17 example where we've tried to do that is to, in the  
18 guidance, apply those risk-based approaches, for example to  
19 environmental monitoring.

20           Updating the aseptic processing guidance. In  
21 the concept paper we've acknowledged improvements that  
22 exist through more modern facility equipment and designs,  
23 automated processes, and well-conceived layouts, air locks,  
24 ergonomics, et cetera that were not conceived when the 1987  
25 guidance was written. These new technologies, in a sense,

1 reduce direct personnel involvement in aseptic operations  
2 and also, through such examples of the technology such as  
3 barrier/isolators that have come in today, have really  
4 reduced the personnel contact with the product, which is a  
5 major source of contamination.

6           We are liberalizing some of the old standards  
7 where we know more about them, such as velocities and  
8 microbial air quality as stressed there. And one specific  
9 example, as it relates to blow fill seal operations, we  
10 have a specific section which explicitly acknowledges the  
11 class 100 particulate standards may not be able to be met  
12 in certain instances, but that microbial standards, of  
13 course, should be met. We are focussing on the effect on  
14 the product and would, of course, have to assure that the  
15 design keeps particulates away from the product, even  
16 though in this type of blow fill seal operation there may  
17 be digressions from that class 100 type of environment.

18           In terms of updating the aseptic processing  
19 guidance, we see advantages here that will probably be most  
20 beneficial to those firms who include increased automation  
21 and enhanced product protection under their design concepts  
22 and those that follow sound GMP operating procedures and  
23 define good metrics.

24           And that's kind of a theme that we've been  
25 talking about here in terms of our overall approach on

1 GMPs. Enhancing product protection and safety through the  
2 use of automation, barrier/isolator concepts is of course  
3 the one primary example of this. We hope that there'll be  
4 quality and business synergies here, another thing that was  
5 brought up -- you know, what's the business impact of that  
6 -- that will come together and make this a win-win for both  
7 FDA and the industry.

8 MR. FRIEDMAN: I'm going to talk about some of  
9 the details of the revision briefly in terms of the mind  
10 set from a risk-based point of view, as well as just a  
11 review of the contents and the format of the guidance.

12 Our revision of the aseptic processing document  
13 began by asking this basic GMP question: What are the  
14 potential sources of contamination in a aseptic process?  
15 First bullet: causes of contamination. In an effort to  
16 answer this question, the concept paper focuses on selected  
17 aspects of the aseptic process and facility that, if not  
18 maintained in a good state of control, can lead to the  
19 contamination of finished units of a parenteral drug.

20 We also asked the question, what measurements  
21 are most valuable in indicating sterility assurance? While  
22 cognizant that some factors in the manufacture of a drug  
23 are more influential than others, we acknowledge what so  
24 many before us have acknowledged, that if an aseptic  
25 processing operation does not remain in control throughout

1 processing, contamination may occur that is unlikely to be  
2 detected by the end product test of a very small number of  
3 units for sterility. Consequently, there are a number of  
4 personnel, environmental, and mechanical variables that  
5 must be considered in order to make a reliable assessment  
6 of whether the aseptic processing operation is under  
7 control.

8           We also concluded that aseptic processes should  
9 be measured using scientifically sound and sufficiently  
10 representative sample plants so that meaningful data can be  
11 used to evaluate whether a batch was produced under  
12 adequate conditions. And we felt that we should focus on  
13 monitoring those variables that can be a signal of an  
14 emerging or existing route of sterile drug contamination.  
15 In short, our concept paper addresses areas of good  
16 manufacturing practice that, if not controlled, can impact  
17 on drug safety and efficacy.

18           I believe many of you have read the concept  
19 paper, so I'll just use this slide to provide a brief  
20 overview of its content. We've mentioned in previous  
21 forums that when the original committee started its work,  
22 Jimmy Carter was the President of the U.S. and the original  
23 draft guideline was typed on a typewriter by Chuck Edwards,  
24 a national expert who still works with the FDA. It was  
25 eventually put into ASCII format on a computer that had no

1 table of contents and the headings were rather spare.

2           So our first task was to improve the format of  
3 the '87 guidance. The first thing we did, we have added a  
4 table of contents. It's hyper-linked actually from the  
5 contents to whatever section you want to go to  
6 electronically. More headings and subheadings. So now it  
7 is much easier to read and follow. New definitions have  
8 also been added. Among the new definitions in the current  
9 revision are air lock, colony forming unit, dynamic,  
10 endotoxin, gowning qualification, barrier, and isolator.

11           It is interesting to note the way the industry  
12 has changed in 15 or 20 years. There was no mention of  
13 either barrier or isolation. That word didn't appear at  
14 all in the original aseptic guidance.

15           We've also now included the metric system for  
16 ease of use alongside the English system numbers. Before  
17 it was in cubic feet and stuff like that. Most science, as  
18 you all know, is in the metric system these days. So we  
19 made the conversion in the aseptic guidance to metric  
20 numbers.

21           The old sections have been updated. For  
22 example, we are updating the sterilization section, which  
23 consists of the filtration efficacy and equipment  
24 sterilization subsections.

25           We also added new sections, including one

1 addressing the role of personnel. One of the biggest  
2 criticisms by many organizations and industry professionals  
3 and PDA of the original guidance was there was inadequate  
4 guidance on personnel. Is there a more critical control  
5 point in aseptic processing than personnel?

6 In addition, the guidance addresses isolator  
7 technology and early processing. The latter, early  
8 processing section, addresses the upstream steps about  
9 which the biologic industry often has had questions and the  
10 Center for Biologics drafted a new annex to the guidance to  
11 address those frequent questions.

12 As Joe mentioned earlier, on October 22, 2002,  
13 we presented the concept paper to the advisory committee  
14 and we received a lot of helpful feedback from the advisors  
15 and expert panelists. Here are some of the major issues  
16 that we distilled from the transcript.

17 There was broad consensus from the industry  
18 organizations, companies, and task forces that appear  
19 before the committee that there is a pressing need for the  
20 draft guidance to be published.

21 The use of latitude phrases in the guidance was  
22 discussed. Are you allowing too much room for  
23 interpretation sometimes? I know that Dr. Boehlert brought  
24 up that question at the committee. So the dilemma  
25 discussed at length was that the guidance could use some

1 more detail in certain places. There was general feeling  
2 that in some cases too much latitude can mean too little  
3 guidance. While we agree that more detail is needed in  
4 some instances, there was also acknowledgement from the  
5 group that too much detail is not desirable either. We  
6 don't want this guidance to be constraining. So we are  
7 trying to effect the proper balance.

8           Regarding the media fills, there is consensus  
9 that enhanced guidance was needed in certain parts of that  
10 section, especially acceptance criteria, number of units to  
11 run, et cetera.

12           A comment also repeated at the October 22  
13 advisory meeting a number of times was that the positive  
14 language in the guidance regarding isolators is appreciated  
15 by the industry.

16           The panelists also recommended that we include  
17 acknowledgement of the use of appropriate rapid test  
18 methods as alternatives to traditional methods, a lot of  
19 them developed in the 1880's, culture methods. More  
20 sensitive, accurate, reliable methods are out there, and  
21 there was a sentiment that we should reflect FDA's open-  
22 mindedness to these new methods.

23           There was consensus that the term "action  
24 limits" may connote a specification as what is being  
25 discussed in environmental monitoring contexts. This is

1 not the intent of the environmental monitoring programs and  
2 there was general agreement that the word "levels" should  
3 be substituted for "limits."

4           Finally, PQRI was recommended as the venue for  
5 more in-depth discussion of certain issues of concern. It  
6 was a five-hour advisory committee, but there are a number  
7 of issues that were identified for much more in-depth and  
8 exhaustive discussions through PQRI.

9           And that is where I turn it over to Glenn  
10 Wright, the chair of PQRI Aseptic Processing Working Group.

11           MR. WRIGHT: Good afternoon. I am Glenn  
12 Wright, Director of Global Regulatory Affairs for Eli Lilly  
13 & Company. Today I am not representing Eli Lilly. I am  
14 representing PQRI as the chairman for the Aseptic  
15 Processing Working Group.

16           The Aseptic Processing Working Group was  
17 approved in concept in November of 2002, and I can't be  
18 grateful enough and really commend the FDA for bringing the  
19 aseptic processing concept into PQRI.

20           The PQRI Aseptic Processing Working Group was  
21 really formed to provide a scientific basis for input into  
22 the FDA's concept paper on aseptic processing. The working  
23 group's activities targeted specific aseptic processing  
24 topics, so the group did not try and handle entire concept  
25 paper. It was very selective at what it was targeting. It

1 was comprised of members from FDA, industry, and academia.

2 I really have to thank the entire working group  
3 of experts. It was very large working group. The group  
4 was really dedicated. We were meeting every week for some  
5 very long teleconferences, flying into Washington for some  
6 meetings. As we all know, I love to travel to Washington  
7 in the winter because of its very mild climate. Well, this  
8 would be the winter of exception. So the trips were  
9 interesting and maybe we should have had them in  
10 Indianapolis. It was much more enjoyable climate this  
11 winter.

12 So I really am appreciative to all the task  
13 force members. I would like to point out a few very key  
14 members. Rick Friedman, of course, a very key member early  
15 on, helping us as we were thinking about this whole  
16 concept. Brenda Uratani, extremely helpful. From an  
17 industry standpoint Russ Madsen, really from a PDA  
18 standpoint, was very helpful as we started to think about  
19 what something might look like for this. The last one I  
20 would like to mention is Richard Johnson, who again, was  
21 essential as we started putting some concepts together  
22 about how a working group might address this, especially a  
23 working a group of this size.

24 We came up with some very clear and specific  
25 goals for the working group, which I think led to its quick

1 execution and I will call success. Really, the key goals  
2 were to develop, execute, and compile an industry survey to  
3 pull current industry practices on aseptic processing.  
4 This had to be done extremely rapidly and we did achieve  
5 that. We're hoping as a byproduct of the working group to  
6 publish the findings of that survey hopefully in the August  
7 time frame. We've got to clean it up a little bit in  
8 regards to format, make it submittable to a journal, and  
9 also we had some late surveys that came in and we want to  
10 go ahead and incorporate that data after the cutoff date.  
11 So that was the first one.

12           We were also charged with developing redline  
13 clarifications for eight text areas within the concept  
14 paper. These were areas where we really felt that there  
15 was probably a baseline agreement, that really we were  
16 talking about changes in language, some subtle changes  
17 which would really make the guidance more clear. There  
18 could be less issues in regards to interpretation. So  
19 there were eight areas targeted for that.

20           Then really the meat of the working group was  
21 to come up with information for development of  
22 recommendations on 10 specific topics, and these were much  
23 larger topics which would require much more discussion.

24           The challenge for all of this was we really  
25 needed to complete our activities by February 28, 2003.

1                   So the basis for the recommendations that we  
2 made to FDA were: the collective expertise of the working  
3 group. And I think if you look at the 41 members, you'll  
4 find in there some of the best industry experts that we  
5 have from FDA and from industry and from academia. Data  
6 from the survey was also used, scientific publications,  
7 journal articles, and other references such as the PIC, and  
8 other regulatory documents.

9                   From an actual process time line, or from an  
10 administrative time line, I think we did a very good job in  
11 regards to completing our task. The working group was  
12 formed, again, or the concept was approved November 20.  
13 Just 110 days later, we issued our final report to FDA,  
14 actually 76 days from our first meeting to our last  
15 meeting. So we actually concluded on March 6, our last  
16 meeting, and then just finalized the report. So we were  
17 one week beyond our planned completion date. And that  
18 really was due to weather. I was very happy for the fact  
19 that if it had not been for the snowstorms, we would have  
20 actually completed on time and on target for that.

21                   Now we're going to break this up a little bit.

22                   We are going to go through three examples of the  
23 clarifications. We're not going to go through all eight.  
24 We're going to try to spend most of our time on the  
25 recommendations. Rick is going to go through the three

1 clarifications that we're going to look at. Then I'm going  
2 to take the first five recommendations, followed by Rick  
3 taking the following five. So Rick I will turn it over to  
4 you.

5 MR. FRIEDMAN: Thanks, Glenn. The first  
6 clarification regarded media fills, and the reason for the  
7 clarification was to acknowledge flexibility and study  
8 design for media fills. For example, every six months a  
9 firm might propose to incorporate a three shift aseptic  
10 operation into two media fills by an appropriate  
11 overlapping approach or other suitable study design. Shift  
12 changes and other time related events would be among the  
13 important factors in any such study design, and it does put  
14 more stress on the study design to make sure that those are  
15 incorporated in less media fills than conventionally done.

16 But such alternate approaches are possible and this  
17 recommendation was meant to make that clear in the  
18 guidance. And that was the slide.

19 The group recommended revising the document to  
20 be less specific with respect to how the suitability of an  
21 active air monitoring device is gauged. It's not like  
22 chemistry. There is some imprecision in microbiology, just  
23 like in bioassays, very allied methodologies, that is not  
24 there with chemistry. You get like some .1 percent  
25 precision or some .5 percent precision of HPLC and you're

1 not even near that with the microbiology methods. So the  
2 means of validating and comparing two different  
3 methodologies or devices are not going to be the same in  
4 chemistry and microbiology and we wanted to acknowledge  
5 that approach via this recommendation. And when I say we,  
6 I'm speaking as a member of the work group.

7                   There was concern regarding the imprecision of  
8 the term "atypical microorganism." So the work group  
9 approved the language here to reflect that the  
10 environmental monitoring program should be attentive to  
11 significant changes in microflora.

12                   And that is basically it for the  
13 clarifications. You'll find that the recommendations were  
14 quite layered. There were a number of points that came out  
15 of each recommendation, but you could go through these  
16 fairly briskly. Recommendations will take a few more  
17 minutes.

18                   MR. WRIGHT: Okay, for the recommendations,  
19 these slides are going to be very busy. We thought it was  
20 very important that, as we talk about the recommendations,  
21 we provide the exact language so that there can be no  
22 confusion.

23                   The recommendations are formed around a  
24 question that the working group was asked. So for each  
25 recommendation there is a question at the very top.

1           The first question is, what is the appropriate  
2 number of units to be filled during a process simulation or  
3 media fill? When you boil this down to the real scientific  
4 question, it's really not that difficult to understand what  
5 we're really after.

6           The number of units to be filled should be  
7 sufficient to accurately simulate activities that are  
8 representative of the manufacturing process. Such  
9 activities include, but are not limited to, aseptic  
10 manipulations during setup and during production,  
11 interventions, type and appropriate number, the typical and  
12 routine interventions, as well as the atypical and the non-  
13 routine, staffing levels, staffing changes, gowning  
14 changes, multiple day fills, and this is not a complete  
15 list. A generally acceptable starting point is between  
16 5,000 and 10,000. For batches under 5,000, the number of  
17 media fill units should equal the batch size.

18           Where the technology is such that the  
19 possibility of contamination is higher -- and this would be  
20 an example of manually intensive filling lines -- a larger  
21 number of units generally at or approaching the fill batch  
22 size should be considered.

23           So in this recommendation we're saying that the  
24 number of units, when we get to 40,000 and 50,000, which we  
25 see in the industry today, is not the important piece. The

1 important piece is have you actually designed your media  
2 fills to incorporate a number which allows you to do those  
3 interventions and all those activities that you are trying  
4 to represent in that media fill. Really, a number to start  
5 with is somewhere between 5,000 and 10,000 units. You may  
6 be able to complete all of your activities within that  
7 number; you may need to add some to that. But the real  
8 important factor is the actual design of the actual media  
9 fill.

10 Recommendation 2. What is an acceptable  
11 temperature range for the incubation of media fill units  
12 using TSB and FTM? If alternative practices are used, what  
13 type of justification is required?

14 Again, when you get down to the principle of  
15 this question, these medias are extremely well understood.  
16 We know that they are wide spectra medias. They are great  
17 for mesophilic bacteria, as long as they are incubated  
18 within that temperature range, which is the largest  
19 grouping of bacteria. So incubation temperatures should be  
20 suitable for the recovery of the bioburden and  
21 environmental isolates. Incubation conditions should not  
22 be less than 14 days, with either a temperature or  
23 temperatures between 25 and 35 degrees C. If two  
24 temperatures are used for incubation of the media fill  
25 units, they should be incubated for at least 7 days at each

1 temperature.

2           Again, this is a very well-known media type,  
3 both of these. The incubation temperatures are well known  
4 for the type of bacteria that we are going to be seeing, as  
5 well as for the fungi we are going to be seeing. So the  
6 incubation range suggested meets that requirement.

7           The incubation temperature should be maintained  
8 within plus or minus 2.5 degrees C of the target  
9 temperature, and at no time be below 20 degrees C or above  
10 35 degree C. So again, we really looked at the basic  
11 science of this, and what's the question we were trying to  
12 answer, and we came up with the recommendation based on  
13 good science.

14           Recommendation number 3. What is an  
15 appropriate limit for the contamination rate in a process  
16 simulation media fill? What is an appropriate target for  
17 contaminated units in a process simulation media fill?

18           I think everybody in industry will agree that  
19 the target is zero. That is really what we are targeting  
20 when we do a media fill. Any contaminated unit indicates a  
21 potential sterility assurance problem. All contaminated  
22 units should result in a thorough, documented  
23 investigation.

24           Now, as we went through the discussions with  
25 the group on this, it was amazing as the group quickly

1 realized that statistics were very difficult to apply. I  
2 think the best example of this is if we were to use a .02  
3 percent contamination rate, if you have a media fill of  
4 40,000, does that mean you are guaranteed the right to have  
5 8 positives in your media fill? As you see as you apply  
6 that statistic, as you get large media fills, it really  
7 becomes a question of have you really met what you are  
8 trying to meet?

9           So as the group worked through this, it became  
10 clear that we really needed to look at the target being  
11 zero. But in aseptic processing, that is the target. It's  
12 not the achievable number in all cases.

13           So we recommended the acceptance criteria  
14 should be established for media fills. When filling less  
15 than 5,000 units, no contaminated units should be detected.

16       When filling from 5,000 to 10,000 units, 1 contaminated  
17 unit requires an investigation and a determination if any  
18 further action is needed, such as a repeat of the media  
19 fill, and 2 contaminated units are considered cause for  
20 revalidation following investigation. When filling more  
21 than 10,000 units, 1 contaminated unit requires an  
22 investigation, 2 contaminated units are considered cause  
23 for revalidation following investigation. The concept  
24 behind the two tiers, is that as you fill more units, you  
25 do have a greater chance of picking up that one stray

1 positive.

2                   Then reoccurring incidents of contaminated  
3 units for media fills for an individual line, regardless of  
4 the set acceptance criteria, should be a signal that action  
5 should be taken. So it would not be acceptable if you have  
6 a repeat of 1, 1, 1, 1, 1, 1 in your media fill. Really  
7 you should see that sporadically, at best, in your media  
8 fill processes.

9                   Recommendation 4. When should critical  
10 surfaces be monitored, and what are appropriate  
11 expectations with regard to results obtained?

12                   From a scientific standpoint, I think we would  
13 all agree that monitoring of critical surfaces can be  
14 scientifically valuable. It provides a good stream of data  
15 to look at. The challenge we have is the processes we use  
16 to actually obtain those samples. So it is well understood  
17 that the sampling and incubation methods used in surface  
18 monitoring are manual operations that, due to personnel  
19 involvement, result in a low rate of false positives. And  
20 for this reason the detection of microorganisms on a  
21 critical site should not necessarily result in batch  
22 rejection, but should be investigated.

23                   The other EM data and procedures that support  
24 the operation should be reviewed to determine if the  
25 positive result is supported. If the review does not

1 support the positive result and there is no negative trend  
2 for the critical surface site, there is a strong case for  
3 not rejecting the lot due to a positive result.

4           And this is extremely important. Unlike  
5 sterility testing, which has built in controls, is done in  
6 a very, very controlled environment, when we talk about  
7 surface monitoring, and you actually have operators taking  
8 RODAC plates, for the non-microbiologists in the group, and  
9 working their way around to actually stick that on a  
10 surface to take the sample, then taking that back to the  
11 lab and then putting that into an incubator, there is a  
12 chance for a low rate of false positives. So while the  
13 data gleaned from the exercise can be very valuable, you do  
14 have to weigh the false positive rate or we end up with a  
15 de facto sterility test which is non-valuable to the  
16 industry and to the regulators.

17           The second part of the recommendation is that  
18 the selection of sample sites should be strategic in an  
19 environmental monitoring program. This should include  
20 consideration as to when or if a critical site should be  
21 monitored.

22           What we're saying there is that you really need  
23 to think before you set up your program, what you're trying  
24 to get out of that program, what sites you should be  
25 monitoring, what the risk is to that before you actually go

1 in and do those, so you know what kind of data you will  
2 have, and how you're going to apply it.

3           The next part of the recommendation is each  
4 manufacturer should review each type of process and the  
5 points of risk for product contamination. Consideration  
6 should be given to the level of contamination risk based on  
7 factors such as difficulty of set up, length of processing  
8 time, and impact of interventions. Again, you really need  
9 to think about how you are going to select the sites.

10           PQRI strongly supported the concept discussed  
11 on line 993 of the concept paper that, when performed,  
12 critical surface sampling should be performed at the  
13 conclusion of the aseptic processing operation to avoid  
14 direct contact with sterile surfaces during processing.  
15 There seemed to be some miscommunications in regards to how  
16 folks go about doing this type of monitoring. It does have  
17 a negative impact on your line, and so it should be always  
18 be done only at the conclusion of the aseptic processing  
19 operation.

20           Recommendation number 5. What data should be  
21 considered when initially establishing monitoring limits?  
22 What is an appropriate frequency for re-evaluating  
23 monitoring limits?

24           Initially published data and/or historical data  
25 from similar operations should be used to set action and

1 alert levels. Historical data may be derived from areas of  
2 similar aseptic operations or represent a homogenization of  
3 company monitoring levels by room class, across lines and  
4 facilities.

5 For aseptic areas where the allowable levels  
6 are less than 1 cfu, consideration should be given to the  
7 use of count incidence rates as an indicator of an  
8 unfavorable trend.

9 And alert and action levels are generally re-  
10 evaluated and reset, if deemed necessary, on an annual  
11 basis using primarily the previous year's data for setting  
12 monitoring levels for an upcoming year. Published data  
13 should be considered when re-evaluating the action level.

14 So those are the first five recommendations and  
15 a little bit of insight into how the group achieved those.

16 And I'll turn it over to Rick for the last five.

17 MR. FRIEDMAN: Recommendation number 6  
18 addresses Table 1 of the concept paper and that table  
19 summarizes clean room air classifications. The working  
20 group agreed that ISO designations should be incorporated  
21 into the document and that all expressions of microbes per  
22 unit of air volume should use metric units, the way the EU  
23 does.

24 Also recommended was replacing the word  
25 "limits" with "levels" which echoes what we heard at last

1 October's advisory committee meeting. So there was a  
2 consensus between the advisory group as well as the PQRI  
3 work group that "levels" was a better term than "limits."

4           Settle plates were added to Table 1. They were  
5 not previously discussed in terms of numerical expectations  
6 in the 1997 aseptic guidance. But the settle plates were  
7 added to Table 1 in order to align the table with that  
8 found in EU Sterile Annex 1. And the significance of  
9 environmental monitoring trends, the last bullet, was  
10 stressed over that of individual data point excursions.

11           Here is the chart. If it looks strangely  
12 familiar, there is a very good reason for that. As I have  
13 indicated, the work group achieved consensus on a table  
14 that harmonizes the microbial expectations with the EU and  
15 incorporates the ISO particulate air cleanliness  
16 classifications. That's quite an accomplishment.

17           Recommendation number 7 addresses the issue of  
18 what type of air flow is acceptable in a closed isolator.  
19 The working group concluded that while unidirectional flow  
20 can often be appropriate for open isolator designs, closed  
21 isolators can normally be operated reliably under turbulent  
22 air conditions. Also, further explanation of the  
23 distinctions between an open and a closed isolator was  
24 recommended, perhaps by including definitions in the  
25 aseptic guidance, in the glossary.

1                   Recommendation number 8. What's the  
2 appropriate recommendation for air handling systems in  
3 isolators?

4                   The group felt that there was not a need to  
5 specify type or configuration of filters used in isolator  
6 air handling systems. Filters are already discussed  
7 earlier in the concept paper and the consensus was that the  
8 air handling system needs to be appropriately designed to  
9 maintain required environmental conditions in the isolator  
10 interior, so there is not a need to specify HEPA, ULPA, a  
11 membrane filter, or whatever.

12                  Recommendation number 9 covers a number of  
13 isolator decontamination issues. Firstly, the group notes  
14 that isolators should be decontaminated using a sporicidal  
15 agent, and this process should be qualified.

16                  The group also recommends that a 4- to 6-log  
17 reduction of a suitable BI, biological indicator, can  
18 normally be justified depending on the application, and  
19 product contact surfaces should be rendered sterile. A 6-  
20 log reduction was specified for those surfaces.

21                  The group also concluded that while chemical  
22 indicators and fraction negative studies can be used to  
23 help develop a decontamination cycle, demonstration of  
24 suitable kill of BIs is the ultimate standard.

25                  There is agreement that uniform distribution of

1 the decontaminating agent should be optimized and addressed  
2 as part of cycle development work, very much in line with  
3 what we've heard about leveraging your understanding of  
4 processes as much as possible at the development stage.

5           The group endorsed the language found in the  
6 concept paper with respect to the degree of relevance of  
7 fraction negative approaches for decontamination methods.  
8 Essentially the concept paper states that fraction negative  
9 type approaches are useful in cycle development, in  
10 estimating what the cycle parameters might be. But the  
11 ultimate test is more in the total kill analysis type of  
12 approach.

13           The group endorsed the language found in the  
14 concept paper with respect to material effect except that  
15 it wanted more of a stress to be put on texture and  
16 porosity rather than composition. There have been a couple  
17 of papers in the PDA Journal on this topic. One came out  
18 right toward the end of our proceedings on recommendation  
19 number 9, and it indicates that there is material effect,  
20 the latest one by Sigwarth and Stark, I think. And yet, it  
21 also replicates the past experiences, I think, by Dr. Akers  
22 where there are also porosity or texture or organic or  
23 inorganic material effects on D-values that also confound  
24 the issue sometimes in this respect. That means that you  
25 have to prepare your BIs right, firstly, and secondly it

1 means looking at the materials for material effect,  
2 hopefully looking at that comprehensively during  
3 development and then lessening the validation burden.

4 Recommendation number 10 is our last  
5 recommendation and the group's final recommendation regards  
6 the fundamental sterile drug process development choice of  
7 terminally sterilizing a drug in its final container or  
8 aseptically manufacturing the drug.

9 The working group concluded that a  
10 clarification on adjunct processing should be made in the  
11 aseptic guidance and that no further detail was needed on  
12 process development choices in this guidance.

13 Instead, the group strongly felt that the  
14 question posed here, what's the most science-based and  
15 risk-based flow chart for process development of a  
16 sterilization process, should be explored and addressed via  
17 formation of a new work group within PQRI or another  
18 organization.

19 The PQRI final report states that "since  
20 terminal sterilization is far better understood, a firm  
21 should not default automatically to aseptic processing, but  
22 should explore terminal sterilization during product  
23 development." That was also concurred with by 86 percent  
24 of the respondents to our poll that we sent out to the  
25 industry. 86 percent of respondents agreed that a firm

1 should not automatically default to aseptic processing, but  
2 do some sort of flow chart that explores terminal and/or  
3 adjunct processes before going to an aseptic process, or  
4 choosing an aseptic process.

5                   And it's back to Glenn for the summary of the  
6 PQRI effort.

7                   MR. WRIGHT: Let me add a little bit more onto  
8 recommendation number 10 because it is easy to get confused  
9 with the term "adjunct processing." Adjunct processing  
10 really looks at the ways that you might treat an  
11 aseptically filled product, after its being aseptically  
12 filled, to increase its sterility assurance level. And the  
13 PQRI group really found this to be an interesting concept  
14 of what kind of things could you do post-aseptic filling to  
15 increase your sterility assurance. As we got into this  
16 conversation a lot of ideas came around such as pulse  
17 light, heating, partial irradiation, lots of really distant  
18 ideas.

19                   I think what the PQRI group stated was that we  
20 thought it was interesting. We're not a point in time  
21 where we really feel we can give much guidance on that  
22 because there needs to be a lot of development work  
23 completed. And so we would recommend the formation of a  
24 group to look at what that might look like. Some of the  
25 challenges are things such as what type of indicators would

1 you use for a sub-sterilization adjunct processing step.  
2 You certainly cannot use a normal bacillus type of organism  
3 you would use for a sterilization. So when you think about  
4 all of the things that would need to come into play, what  
5 would be the regulatory expectations in regard to it, it  
6 really spurred a great amount of excitement within the  
7 group as far as really reaching into their science minds  
8 and saying what's possible.

9           So it is an area I think we would recommend  
10 further work to be done, and at some later point, it might  
11 be something you would want to include or the FDA would  
12 want to include in guidance. But today it's just not at a  
13 point where it would appropriate.

14           So I'm going to summarize quickly the PQRI  
15 working group. The Aseptic Processing Working Group has  
16 completed the activities as specified in the work plan.  
17 The PQRI process entails an approved work plan. We've now  
18 completed that activity, so the group's work is complete.  
19 The final report is available on the PQRI web site, and  
20 that's at [www.pqri.org](http://www.pqri.org). You can also find a copy of the  
21 work plan, the final reports, all together on that web  
22 site.

23           The principal reason for the success of the  
24 working group was the expertise of its members and the  
25 strong work plan. I can't emphasize enough the expertise

1 of the members. When you look at the member list, it's  
2 readily apparent that there was no come-up speed, learning  
3 curve speed with these individuals. They are well  
4 established in the industry, many in the academic world and  
5 FDA, with an understanding of aseptic processing. So it  
6 really led to some really good discussions, some very  
7 interesting discussions, and some very thought-provoking  
8 discussions.

9           The PQRI process clearly demonstrates that  
10 when we bring together true experts and base our decisions  
11 in science, we can work together to develop guidance that  
12 is good for the regulators and the industry and the  
13 consumers.

14           The one final thought or comment I have is I  
15 want to make sure that industry understands its  
16 responsibility. In this process I really do feel we were  
17 lucky to have the concept paper come out to have an initial  
18 reaction to what some of the FDA's concepts were around  
19 aseptic processing. As the FDA moves into the draft  
20 guidance, the industry has yet another chance to comment  
21 through the actual docket. And it's really up to industry  
22 to make sure that if they have issues with the guidance,  
23 that they comment on it. The way to develop good guidance  
24 is through good communication. So I would highly recommend  
25 that the industry comments on the draft guidance once it's

1 issued.

2                   And with that I will close my presentation and  
3 turn it over to Joe for the final slide.

4                   MR. FAMULARE: There's one last slide, if  
5 you'll put it up there in terms of the status of the  
6 guidance revision.

7                   Before I get into that slide, I just wanted to  
8 add that one of the main successes of the group was the  
9 chair who really kept the group very much on task and  
10 focus, and you can imagine, with a group that size, the  
11 amount and divergence of opinion. But Glenn went through  
12 that seamlessly and now probably bears on his office the  
13 post office motto, neither rain nor sleet has prevented him  
14 from his appointed task. I can't remember the middle part.

15                   (Laughter.)

16                   MR. FAMULARE: In terms of the concept paper,  
17 that's still remains up on our web site. The first three  
18 steps here we've actually been through. We had the  
19 advisory committee meeting which gave us very valuable  
20 input, and now the PQRI group, through its efforts, has  
21 been described in detail, and the data that was brought  
22 into this has really helped us in terms of being able to  
23 take that data back and help us in terms of formulating  
24 what, as Glenn said, will be the draft guidance.

25                   We're now at the step of taking our reaction to

1 that, our concept paper, putting it through the regulatory  
2 and legal review process we need to go through to get a  
3 draft guidance published. Then we will publish the draft  
4 guidance for public comment, as Glenn says. We certainly  
5 have a tough pace in keeping up with that to meet up with  
6 the aggressive time frame that PQRI came through on, and  
7 we're going to try and hold up our end and get that out as  
8 soon as we can. Anytime I give a date like that, I always  
9 have to retract, but we hope, indeed, to get that out this  
10 summer. So that gives me a three-month leeway there.  
11 Hopefully, I don't have to call October a summer month or  
12 something.

13 (Laughter.)

14 MR. FAMULARE: We are definitely pointed  
15 towards getting this out as quickly as possible in the  
16 spirit that PQRI did a job in a very intensive, quick  
17 turnaround.

18 Thank you.

19 DR. BOEHLERT: Thank you, gentlemen.

20 Are there any questions or comments from  
21 members of the committee?

22 DR. GOLD: I have a few comments and questions.  
23 I too add my kudos to the committee and to Glenn. What Joe  
24 did not say was not only was the committee composed of 40  
25 individuals, but many of those 40 are very strong in their

1 positions, and bringing peace to this diverse group  
2 required, obviously, a very strong and firm hand. So I do  
3 congratulate you.

4 MR. WRIGHT: Thanks.

5 DR. GOLD: But Glenn and the others here, there  
6 are one or two points that I would like to clear up. Your  
7 recommendations went a long way to clear up many of the  
8 really troublesome issues, but there's one that was not  
9 covered in the recommendations, or at least I didn't see it  
10 covered. There's been a question raised about whether an  
11 isolator needs to be placed in a controlled environment.  
12 Did your committee discuss that, and if so, what was the  
13 conclusion?

14 MR. WRIGHT: We didn't discuss that. It wasn't  
15 part of the formalized work plan, and the challenge really  
16 was to stay as close to the approved work plan as we could.  
17 I think it's a very good question, but unfortunately there  
18 was just not enough time to add any topics and it was not  
19 in the approved work plan, so we did not get into that  
20 topic.

21 DR. GOLD: So we may see the statement that was  
22 in the original document on that matter.

23 MR. FAMULARE: Well, you'll have to --

24 DR. GOLD: I'll have to wait.

25 MR. FAMULARE: -- realize also that this is a

1 summary of PQRI primarily, and Rick did have one slide  
2 about advisory committee comments as well, which is also a  
3 summary. But there was comment at the advisory committee  
4 about that, and so that comment has been taken in. We just  
5 don't have the results of all that published for you yet.

6 DR. GOLD: All right. Did you want to add  
7 something, Rick?

8 MR. FRIEDMAN: No. I think Joe just basically  
9 said what I was going to say.

10 DR. GOLD: I have another question.  
11 Recommendation number 3 on the slides talks about when  
12 filling from 5,000 to 10,000 units, 2 contaminated units  
13 are considered cause for revalidation. And then when you  
14 go beyond 10,000 -- and you were talking about doing as  
15 many as 40,000 and there are firms that are doing a great  
16 many units I know -- it says the same thing. Two  
17 contaminated units are considered cause for revalidation  
18 following investigation. So the recommendation of the  
19 committee is that once you get up to a number above 5,000,  
20 2 is the failure rate that requires investigation?

21 MR. WRIGHT: That's what the group concluded.  
22 That's correct.

23 DR. GOLD: What was the rationale for that? If  
24 you fill 10,000, that's quite different than if you fill  
25 30,000 or 40,000. What would be the rationale for that?

1           MR. WRIGHT: Good question. There were really  
2 a couple things we looked at. First, we went back  
3 historically and really asked the question, how did we ever  
4 get to .1 percent? Where did that number come from? How  
5 did it evolve? What we've seen is that initial setting of  
6 that number came out of the fact that firms were filling  
7 about 3,000 units, and that really when the WHO came out  
8 with their recommendation, it was not less than .3 percent.

9           Companies were filling a small number of media filled  
10 units, and they were looking at not more than 1. That's  
11 really what they were looking at. They didn't want to see  
12 more than 1 out of those small fill units.

13           As we went through time, we started using this  
14 percentage and we got to .1 percent. Firms were filling  
15 about 3,000 units, and we were talking about a 95 percent  
16 confidence level which really puts you in that, again, 1  
17 category.

18           As time has evolved, that number was  
19 extrapolated. I can't imagine that the idea was ever that  
20 you would be allowed a large number of failing units based  
21 purely on statistics. So that's one of the rationales as  
22 we looked at this.

23           The other one really is the limits based on  
24 statistical calculations. We know that they're flawed when  
25 we look at aseptic processing. It's in part because it is

1 not appropriate to apply the statistics of large scale  
2 populations to smaller ones, and a statistical approach  
3 makes faulty assumptions that the distribution and  
4 frequency of potentially contaminated units are the same in  
5 these populations. The statistically derived contaminated  
6 rates are, therefore, not reflective in setting acceptance  
7 criteria for the process simulation.

8           So, again, with the target being 0, which is  
9 where we really want it targeted at, the idea that as you  
10 fill more units, you should be allow more positive starts  
11 to fall apart. You really are trying to target 0. The  
12 idea that you're going to have an occasional 1 positive  
13 because this is aseptic processing is understood, but when  
14 you get above that, there's certainly concern in regards to  
15 the processes being performed.

16           MR. FRIEDMAN: I could also add to that. One  
17 of the reasons why PQRI ended up being such an ideal, I  
18 think I could say, venue for addressing these very  
19 intricate, technical issues was because PQRI is data  
20 driven. And the first stop that PQRI made was at the data  
21 and then researching the journals and using the collective  
22 experience, which was tremendous, of the 41 working group  
23 members. And starting at the data, we found that there  
24 were 606 media fills that we got back from industry, 606 in  
25 the last year that were run, and 54 of the 606 runs had

1 contamination, meaning that 552, to be exact, had no  
2 contamination. 91 percent were not contaminated, 91  
3 percent of the media fills in the last year. 66 percent of  
4 the 54 that were contaminated had one contaminant, so two-  
5 thirds had only single. 6 percent had two contaminants,  
6 and three contaminants were found in 7 percent, and so on.

7           So the data was a very important cog in this  
8 process because we were struggling with this issue. I've  
9 mentioned a number of times to people -- and I think it  
10 really is a tribute to Glenn that this was such a success  
11 from managing the process, as well as from bringing  
12 everybody's technical opinions in. But in my eight years  
13 at CDER -- I was in the field previously -- I would go to  
14 conferences each year and we would hear the same questions  
15 over and over, and we'd leave the conferences with a lot of  
16 food for thought but without ever reaching resolution on  
17 these pressing major technical issues. What was done here  
18 was we used the data, the journal papers, and the  
19 collective experience of the foremost experts in the  
20 industry from I believe 10 organizations, including USP  
21 also, to come to a consensus on this issue.

22           DR. GOLD: Rich, on those media fill runs that  
23 you quoted, what were the size of the runs? How many were  
24 over 10,000?

25           MR. FRIEDMAN: We actually did a lot of data

1     crunching.  Glenn did a lot of Excel work.

2                   MR. WRIGHT:  But I actually do not know that  
3     offhand.

4                   MR. FRIEDMAN:  We could share it with you but  
5     it's in a big database.

6                   DR. GOLD:  Can you share it with the committee  
7     or you're not ready to do that?

8                   MR. WRIGHT:  I did not bring all of our data  
9     reports, so today I'm not able to share it because I don't  
10    have it.  But we certainly can get that.

11                   DR. GOLD:  Two other comments.  One comment is  
12    that I'm glad you finally resolved the issue of fallout  
13    plates with the EU.  This has been a contentious issue for  
14    a long time, so I finally will not hear the arguments about  
15    that going back and forth in the future.  That's good to  
16    know.

17                   But the last point I would like to make is that  
18    when I read the concept paper, I noticed that there were  
19    many areas where specific not suggestions, but almost  
20    indications of what should be done -- for example, flow  
21    rates of air to achieve unidirectional air flow -- where  
22    those numbers were taken out.  Now, for first world  
23    countries, that's fine, but this guidance is going to be  
24    used worldwide.  And I wonder how we can deal with this and  
25    make those numbers known to areas of the world where they

1 don't have that type of expertise. There is a tradeoff  
2 always in getting too specific and not being sufficiently  
3 specific.

4 MR. FRIEDMAN: Effecting a balance between  
5 specificity and general principles to allow latitude is one  
6 of the most difficult things I think in CMC guidance, in  
7 GMP guidance, in anything that we write at FDA and in the  
8 technical literature that's written by the organizations,  
9 though they have more of a chance to be specific than we  
10 do. So it has been a struggle at times to try to figure it  
11 out because you could find people at absolute extremes of  
12 this debate, and it is a timeless debate. That debate will  
13 never go away.

14 So, what we've tried to do -- I'll just mention  
15 one more thing and then I'll let my boss address this in a  
16 more lasting way because mine is just a technical opinion  
17 on this.

18 What we're doing is we're trying to find a way  
19 to address including numbers like that, but not in a  
20 stifling way, but instead mentioning it such as 90 FPM,  
21 mentioning it perhaps as a footnote or something like that.  
22 Those are the types of things we're considering.

23 DR. GOLD: You could mention it in a footnote  
24 or you could mention it even in the text and just indicate  
25 as one possible way of achieving unidirectional air flow is

1 to use a number such as. There are a lot of ways of doing  
2 this. Yes.

3 MR. FRIEDMAN: And mom and pop shop, small drug  
4 companies too.

5 DR. GOLD: Well, it's mostly for third world I  
6 think that we --

7 MR. FAMULARE: Dan, that's what I wanted to  
8 address here with you. Really in the context of Q7, I  
9 think you had that very much in mind in terms of the work  
10 group there, but in terms of the aseptic guide, it's  
11 generally directed towards U.S. companies and those that  
12 ship to the U.S. So that audience isn't in mind, and that  
13 doesn't mean that there shouldn't be a venue to try and  
14 address those issues for those countries that may not be as  
15 knowledgeable in that, and there are additional ways and  
16 venues to do that.

17 DR. GOLD: Joe, I can assure you that your  
18 guidances are used worldwide regardless if they ship to the  
19 U.S.

20 MR. FAMULARE: That will be beneficial, but in  
21 terms of putting those types of limits in for U.S. and  
22 firms that ship to the U.S. would probably run counter for  
23 the overall purpose, and we need to seek other venues to  
24 get that guidance. Just getting this will probably set a  
25 lot of paradigms there that aren't available right now.

1           MR. WRIGHT: Let me add one more comment to the  
2 question on the limit for contaminated vials. I think one  
3 thing that's important to remember about the survey is the  
4 survey was a voluntary response survey. So while we think  
5 we've got a pretty good diversity of responses, we can  
6 never be absolutely assured.

7           The other thing I really enjoyed about this  
8 PQRI process and the concept paper is that there is, again,  
9 one more round that this guidance will go through. So as  
10 firms begin to look at that acceptance criteria and  
11 struggle with themselves whether that is acceptable or not,  
12 they again will be able to come back and comment to the  
13 docket. So we probably will go through this discussion  
14 point again as questions start coming in, or the FDA will  
15 go through this again as comments start coming in to the  
16 docket on the draft guidance. So we need to keep in mind  
17 that there will be one more round for the industry to  
18 comment, and I really am hoping that the industry will  
19 comment on the parts of the guidance that they are having  
20 challenges with so that in the end the guidance will be as  
21 strong as possible.

22           DR. BOEHLERT: G.K., did you have a comment?

23           DR. RAJU: Sure. Two classes of comments. One  
24 is on the guideline itself. Just like Dan, I think sitting  
25 here on the committee, we have to say well done and

1 congratulations because many of them are volunteers who get  
2 together across organizations to do it. It sounded clearly  
3 that you did it quite quickly and it got a lot of people  
4 together and you did it quite well based on the answers  
5 that you were giving us. So that's a thought on the  
6 guidance itself.

7                   But if you're now going to try to connect it to  
8 the broader cGMPs for the 21st century, I guess I have a  
9 set of comments first for Joe and then for Ajaz.

10                   If you look at the cGMPs for the 21st century  
11 and you ask people around in the industry who are part of  
12 the cGMPs, if you don't touch the 210 and 211 for now in  
13 the C.F.R. and you say it's about the guidances to some  
14 extent in terms of the regulatory process, on the two ends  
15 of it, they'll say that the two least favorite of their  
16 guidances are the old versions of the C.F.R. Part 11 and  
17 the aseptic processing guidelines, and their favorite one  
18 is the SUPAC guidelines and they want to do more. So there  
19 are two ends of the spectrum that you hear.

20                   If you look at what the FDA has been able to do  
21 is to really look at the C.F.R. Part 11 and make some major  
22 clarifications, and maybe you took us from the 19th or 20th  
23 century to the beginning of the 21st century and that was  
24 inspiring.

25                   In terms of the SUPAC, you laid the foundation

1 to make "change is good" rather than "change is bad" and  
2 take us to the 21st century.

3           But if you look at the aseptic processing  
4 guideline, you made a big start forward. In many ways, if  
5 you look at the basic sterility testing, it's from the 19th  
6 century. In many ways if you go back to the fortunate and  
7 maybe unfortunate time when Fleming had a cold and sneezed  
8 into a petri dish, the good news is that we got penicillin  
9 as a result, but the bad news is that most of us have been  
10 testing with our senses being pretty much the eye and  
11 pretty much being about whether a cell can grow based on  
12 what Fleming did many years ago in this petri dish.

13           You've clarified the guidelines and brought  
14 them forward from the 19th century to the 20th century.  
15 Now let's go back to the questions about mechanistic  
16 understanding. I mean, I like the fact that you brought in  
17 the isolator piece, you brought in the typewriter, no table  
18 of contents to the table of contents and a structure  
19 clearly in the 20th century. You've laid in the isolator,  
20 which is a technology for building sterility in. I  
21 understand that. And you put a note saying you were going  
22 to encourage new technologies to measure sterility. So  
23 that's when we're trying to overcome Fleming here.

24           There's a number of technologies which we  
25 believe can have a mechanistic view, just like we said, our

1 desired state of sterility. That is not necessarily wait  
2 for it to live for 14 days but to be able to measure it  
3 immediately because some things are general.

4           As you take this guideline forward, because  
5 biology is more unpredictable than chemistry and physics  
6 sometimes, should this guideline wait? And maybe as I'm  
7 asking the question, what is the next step with aseptic  
8 processing, you may have a huge step forward. How does it  
9 get integrated into the 21st century? Does it wait until  
10 we finish the physics and chemistry and then the biology  
11 comes later?

12           And then the question for Ajaz is, do you see  
13 this stopping here as kind of aseptic or do you see a  
14 connectivity back with all the things that we were talking  
15 about? Because you said PAT was the benchmark and the  
16 example. It seems like this might be another way to bring  
17 it in.

18           MR. FAMULARE: Well, to start off, I'd say we  
19 do see that we're just at the beginning stages of getting  
20 to the 20th century, and admittedly a lot of what we're  
21 putting here is catch-up to close the gap on things we  
22 haven't addressed going back to 1987. As we look going  
23 forward, we need to keep the current thinking on these  
24 ideas going forward even more frequently and with greater  
25 intensity in terms of the technology that's going to be

1 coming in going forward.

2                   So we agree with that concept and we agree that  
3 we need to be putting into place those guidances as  
4 necessary that address emerging technology or be flexible  
5 enough with the guidance -- that's back to the previous  
6 question -- that those things will just come along.

7                   I think many of the issues we've been dealing  
8 with, in terms of our actual cases that come to the Office  
9 of Compliance -- you're saying do we have the path forward.  
10 Many of the issues, in terms of our compliance issues, are  
11 20-year-old technologies still being used to make sterile  
12 products today. So improving the current state as much as  
13 we can is a major leap forward and especially since these  
14 products represent many therapeutically necessary products,  
15 and every time we have a compliance issue with a sterile  
16 process product, we generally associate that with medical  
17 shortages, supply problems, and patch-ways to make sure  
18 that the product is still being manufactured and additional  
19 monitorings to get them forward. So this is a bigger leap  
20 than you may think.

21                   But I do agree. We have to keep thinking  
22 forward as to the next steps in line with the cGMP for the  
23 21st century, giving rewards where we can where you're  
24 bringing the better technology. This is really just the  
25 first step. So we have to keep the momentum going now. In

1 fact, PQRI is busily thinking of the next subtopics to take  
2 on.

3 DR. HUSSAIN: G.K., I think that's a very good  
4 question. In many ways we are catching up not only in this  
5 area, but even I would look at stability testing and we had  
6 to really catch up on that. We have a guidance 12 years  
7 and running, and it's still in draft form. So I think  
8 there are many aspects.

9 But in the case of microbiology, in terms of  
10 the PAT discussion, we devoted a significant portion of our  
11 third PAT meeting to rapid microbial methods. I'm happy to  
12 share with you that we are moving in submissions in that  
13 area. So that has already occurred and is occurring in  
14 rapid ways. In fact, we are getting ready to put some  
15 training programs in that area, working with Joe and  
16 others, to move forward very quickly in that area also.

17 So the guidances shouldn't be looked upon as  
18 waiting for any technology. I think Joe is right. The  
19 guidance is flexible enough to make new technology come  
20 through without, quote/unquote, perceived or real  
21 regulatory hurdles. So that's the process.

22 DR. RAJU: I think similar to what Joe said and  
23 Dan said and everybody said yesterday and today, I think  
24 this C.F.R. Part 11 case and this aseptic processing case  
25 -- really the fact that you made so much progress really

1 gives a lot of credibility to the cGMP initiative. There's  
2 no reason for us to say that. We're not from the FDA.  
3 It's really, I think, very impressive.

4 DR. BOEHLERT: Pat?

5 DR. DeLUCA: Yes. There's certainly a need for  
6 science in this area and research. But I agree too, it's  
7 been a long time. 1987. It's hard to believe it's 15  
8 years since we drafted the guidance. Actually it started  
9 in 1980. This is a great step forward. There's a need to  
10 bring it into what the technology has proved and what we've  
11 learned has improved enough. And they have the data to  
12 show what can be met. So I think this is a great step  
13 forward.

14 I'd like to ask a question on recommendation 4.  
15 This dealt with the critical surfaces to be monitored. I  
16 don't see in here a requirement for a drawing, a layout of  
17 the locations where the monitoring would be done.

18 MR. WRIGHT: Yes. I can comment on that. The  
19 recommendations are really meant to be used and  
20 incorporated by the FDA as they see fit. There's a  
21 realization that there may be more surrounding that. The  
22 real question we were working to answer again was what do  
23 you do with the data and should you be monitoring these  
24 surfaces. I think the realization that you would want to  
25 have a map of where those critical processes are -- I think

1 that certainly would be an expectation, but the working  
2 group did not get into that detailed portion of it. They  
3 really were working on the question of when should we and,  
4 again, how should we look at that data. I think it's a  
5 very good question.

6 DR. DeLUCA: I just thought the map would help  
7 in constructing a history.

8 DR. BOEHLERT: Tom, you had a comment?

9 DR. LAYLOFF: Yes. I was going to say I think  
10 it was really an outstanding job of pulling together the  
11 industry and the experts to define what is pragmatically  
12 reasonable in the current environment. I think that it's  
13 important that we keep our eye on that, rather than trying  
14 to force the industry to move to what is technically  
15 feasible. Certainly the rapid microbial testing, we heard  
16 a lot of the advantages and disadvantages of it, but this  
17 is the practice of the art, the good practice of the art at  
18 this time, and I think it's wonderful it came together that  
19 way.

20 DR. BOEHLERT: Any other comments, questions?

21 (No response.)

22 DR. BOEHLERT: Ajaz? I think we're reaching  
23 the end of our meeting. Helen was to do a summary and  
24 conclusions, but she had another commitment. Yes, Tom will  
25 do it since he took her seat.

1 (Laughter.)

2 DR. BOEHLERT: But Ajaz has volunteered to play  
3 that role or was volunteered to play that role.

4 (Laughter.)

5 DR. HUSSAIN: I think this has been a very good  
6 start to this committee. I think the two days of  
7 discussion have not -- although we presented this  
8 information before in other places, it really helped me  
9 through your discussions to really focus in on a number of  
10 issues. I was very pleased to see the level of  
11 participation and involvement of the committee members. So  
12 I think both Helen and I discussed this and we were quite  
13 pleased with the level of participation.

14 As we move forward, I think the key aspect  
15 would be to keep the focus on topics and the scope of the  
16 topics in such a way that we can start making progress. I  
17 think it will be nice to see if we can repeat the success  
18 of the PAT Subcommittee in terms of getting clearly defined  
19 goals and objectives and laying the whole program out and  
20 coming to consensus and moving forward very quickly. It is  
21 important to do that because we have a time line with  
22 respect to the drug quality system for the 21st century  
23 initiative. We have a two-year time frame and I think we  
24 are almost at the midpoint of that. This committee's  
25 activities would really need to be at a very high level of

1 efficiency to make sure the input is captured as we  
2 finalize our plans and strategic plan for this initiative.

3 So I really thank all of you, and we will take  
4 all your recommendations and plan for the next meeting in a  
5 way hopefully you will be excited and we'll get more  
6 information out.

7 Joe, do you want to say something?

8 MR. FAMULARE: I could just quickly second  
9 Ajaz's comments that the group was very interactive and  
10 helpful on having us focus our ideas. We are certainly, in  
11 a way, pressed for time to make sure we get to the point of  
12 what we want to study further in depth. This process has  
13 been very helpful to us in trying to narrow that down.  
14 Just from this meeting, I can see that the future meetings  
15 will be very productive in giving us feedback on how to  
16 proceed.

17 DR. BOEHLERT: I'd just like to add my thanks  
18 to all of the speakers who presented the last few days. I  
19 think it has helped us as committee members to understand  
20 the issues.

21 I thank my committee members for their input.  
22 I look forward to working with you in the future and really  
23 appreciate the open and candid discussions we've had. So  
24 thank you.

25 DR. GOLD: Madam Chairman, we have, I believe,

1 a tentative date in September, one day. Is that to be a  
2 one-day meeting and is that date firm so I can get it on my  
3 calendar?

4 DR. HUSSAIN: No. We felt, I think, we wanted  
5 to grasp exactly how we want to structure the next meeting.  
6 I think the tentative date is September 17th, if I'm not  
7 mistaken.

8 DR. GOLD: That is the date.

9 DR. HUSSAIN: What we will do is soon confirm  
10 that on e-mail to you guys, whether it's a one-day or  
11 possibly two-day meeting.

12 DR. GOLD: Will you be able to do that within a  
13 few weeks at most?

14 DR. HUSSAIN: Yes, that's the plan.

15 DR. BOEHLERT: If there is no further  
16 discussion, thank you and have good travel, whatever your  
17 final destination may be.

18 (Whereupon, at 2:47 p.m., the subcommittee was  
19 adjourned.)

20

21

22

23

24

25